

**EXHIBIT C**

EXHIBIT C

## U.S. FOOD AND DRUG ADMINISTRATION

+ + + + +

## PEDIATRIC ADVISORY COMMITTEE

+ + + + +

## MEETING

+ + + + +

TUESDAY,  
NOVEMBER 18, 2008

+ + + + +

The meeting was held in the Holiday Inn Gaithersburg, Two Montgomery Village Avenue, Gaithersburg, Maryland, at 8:00 a.m., Marsha D. Rappley, M.D., Chairperson, presiding.

COMMITTEE MEMBERS PRESENT:

MARSHA D. RAPPLEY, M.D., Chairperson  
 CARL D'ANGIO, M.D., Member  
 AMY J. CELENTO, Patient-Family Representative  
 AVITAL CNAAN, Ph.D., M.S., Member  
 LEON DURE, M.D., Member  
 HENRY FARRAR, M.D., Pediatric Health  
 Organization Representative  
 BRAHM GOLDSTEIN, M.D., MCR, FAAP, FCCM,  
 Industry Representative  
 MARK HUDAK, M.D., Temporary Voting Member  
 Consultant  
 MELISSA MARIA HUDSON, M.D., Member  
 KEITH KOCIS, M.D., M.S., Member  
 KATHLEEN J. MOTIL, M.D., Ph.D., Member  
 DANIEL NOTTERMAN, M.D., Member  
 ALEXANDER T. RAKOWSKY, M.D., Member  
 GEOFFREY L. ROSENTHAL, M.D., Ph.D., Member  
 ELAINE VINING, Consumer Representative

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

FDA PARTICIPANTS PRESENT:

CARLOS PEÑA, Ph.D., M.S., Executive Secretary  
OZLEM BELEN M.D., Division of Special  
Pathogens and Transplant Drug Products  
VICKY BORDERS-HEMPHILL, Pharm.D., Office of  
Surveillance and Epidemiology  
BILL BOYD, M.D., Division of Anti-Infective  
and Ophthalmology Products  
PATRICIA BROWN, M.D., Medical Officer,  
Division of Dermatology and Dental  
Products, Office of New Drugs, CDER  
FELICIA COLLINS, M.D., M.P.H., Medical  
Officer, Pediatric and Maternal Health  
Staff, Office of New Drugs, CDER  
JUDITH COPE, MD, MPH, Medical Officer, Office  
of Pediatric Therapeutics  
SUSAN CUMMINS, M.D., M.P.H., Senior Science  
Advisor, Pediatric and Maternal Health  
Staff  
CAROLE DAVIS, D.O., M.P.H., Division of  
Neurology Products  
IDA-LINA DIAK, Pharm.D., Office of  
Surveillance and Epidemiology  
ELIZABETH L. DURMOWICZ, M.D., Medical Officer,  
Pediatric and Maternal Health Staff,  
Office of New Drugs, CDER  
NORMAN HERSHKOWITZ, M.D., Team Leader,  
Division of Neurology Products  
DEVANAND JILLAPALLI, M.D., Acting Team Leader,  
Division of Neurology Products  
THOMAS LAUGHREN, M.D., Director, Division of  
Psychiatry Products  
NAOMI LOWY, M.D., Medical Officer, Division of  
Metabolism and Endocrinology Products  
LISA MATHIS, MD, Pediatric & Maternal Health  
Staff, Office of New Drugs, CDER  
MITCHELL MATHIS, M.D., Deputy Director,  
Division of Psychiatry Products  
ANN McMAHON, M.D., Office of Surveillance and  
Epidemiology  
DIANNE MURPHY, M.D., Director, Office of  
Pediatric Therapeutics, OC

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

FDA PARTICIPANTS PRESENT (Continued):

ROBERT "SKIP" NELSON, M.D., Ph.D., Pediatric  
Ethnicist, Office of Pediatric  
Therapeutics, OC

PHILIP SHERIDAN, M.D., Medical Officer,  
Division of Neurology Products

AMY TAYLOR, M.D., M.H.S., Medical Officer,  
Pediatric and Maternal Health Staff,  
Office of New Drugs, CDER

ALSO PRESENT:

RAMA BHAT, M.D., Professor of Pediatrics,  
Director of Neonatology, University of  
Illinois at Chicago Medical Center

TODD GRUBER, M.D., M.P.H., Head, U.S. Medical  
Function, Novartis

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

TABLE OF CONTENTS

	<u>PAGE</u>
Introductions .....	5
Conflict of Interest Statement .....	8
Agenda Overview, Dr. Dianne Murphy .....	11
Specific Drug Reviews:	
Zyvox .....	26
Betopic S and Timolol .....	32
Risperdal .....	44
Zyprexa .....	112
Levaquin .....	152
Lamictal .....	175
Ambien .....	204
Lamisil .....	239
Aldara .....	257
Open Public Hearing .....	280
Sandostatin Expanded Review of Adverse Events:	
Presentation by Dr. Rama Bhat .....	281
FDA Presentation, Dr. Amy Taylor ...	323
Sponsor Presentation, Dr. Todd Gruber .....	334
Ethics Discussion, Dr. Robert Nelson .....	391

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

P R O C E E D I N G S

(8:03 a.m.)

CHAIRPERSON RAPPLEY: Well, good morning, and thank you to everybody for coming out today.

I think we'll start with introductions. Amy, would you mind if we start on your end?

MS. CELENTO: Amy Celento, patient representative.

DR. CNAAN: Avital Cnaan, statistician, Children's National Medical Center.

DR. D'ANGIO: Carl D'Angio, neonatologist, University of Rochester.

DR. DURE: Leon Dure, child neurologist, University of Alabama at Birmingham.

DR. FARRAR: Hank Farrar. I'm the pediatric health organization representative, and I'm a clinical pharmacologist at Arkansas Children's Hospital.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. GOLDSTEIN: Brahm Goldstein.  
2 I'm the pharmaceutical industry  
3 representative. I'm a pediatric critical care  
4 physician, and I work at Nova Nordisk in  
5 Princeton, New Jersey.

6 DR. HUDSON: Melissa Hudson,  
7 pediatric oncologist, St. Jude Children's  
8 Research Hospital in Memphis.

9 DR. KOCIS: Good morning. Keith  
10 Kocis from the University of North Carolina,  
11 and I'm a pediatric cardiologist and  
12 intensivist.

13 DR. MOTIL: Kathleen Motil from  
14 Baylor College of Medicine. I'm a pediatric  
15 gastroenterologist.

16 DR. NOTTERMAN: Daniel Notterman  
17 from the Department of Molecular Biology at  
18 Princeton University, and I'm also a pediatric  
19 intensivist.

20 CHAIRPERSON RAPPLEY: Marsha  
21 Rappley. I'm Chair of the Committee, and my  
22 area is developmental and behavioral

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1       pediatrics.

2                   DR. PENA:       Carlos Pena, senior  
3       science policy analyst, FDA, and Exec. Sec. to  
4       the Pediatric Advisory Committee.

5                   DR. ROSENTHAL:   good morning.   My  
6       name is Geoff Rosenthal.   I'm a pediatric  
7       cardiologist and an epidemiologist from the  
8       Cleveland Clinic.

9                   DR. RAKOWSKY:   Good morning.   My  
10      name is Alex Rakowsky.   I'm the IRB Chair at  
11      Nationwide Children's Hospital, Columbus Ohio.

12                   MS. VINING:       Good morning.   I'm  
13      Elaine Vining.           I'm       the       consumer  
14      representative of the Committee.

15                   DR. HUDAK:       Hi.    I'm Mark Hudak.  
16      I'm a neonatologist from the University of  
17      Florida, Jacksonville.

18                   DR. LISA MATHIS:   I'm Lisa Mathis.  
19      I'm Associate Director in the Office of New  
20      Drugs within CDER at the FDA for the Pediatric  
21      and Maternal Health staff, and I'm a general  
22      pediatrician.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 DR. MURPHY: I'm Dianne Murphy.  
2 I'm the Director of the Office of Pediatric  
3 Therapeutics in the Office of the  
4 Commissioner, and I'm a pediatric infectious  
5 disease specialist or I was about ten years  
6 ago before I came to the agency.

7 DR. BOYD: Hi. I'm Bill Boyd. I'm  
8 an ophthalmologist in the FDA's Division of  
9 Anti-Infective and Ophthalmology Products.

10 DR. COPE: I'm Judy Cope. I'm a  
11 pediatrician, adolescent medicine specialist,  
12 epidemiologist in the Office of Pediatric  
13 Therapeutics.

14 CHAIRPERSON RAPPLEY: Dr. Pena has  
15 some words for us.

16 DR. PENA: Good morning to members  
17 of the Pediatric Advisory Committee, public  
18 attendees, and FDA staff. Welcome to this  
19 meeting.

20 The following announcement  
21 addresses the issue of conflict of interest  
22 with regard to today's discussion, reports by

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the agency as mandated in Section 17 of the  
2 Best Pharmaceuticals for Children Act on  
3 adverse event reports for Betoptic, Aldara,  
4 Lamictal, Levaquin, Sandostatin, Zyprexa,  
5 Risperdal, Lamisil, Timolol, and Ambien.

6 The Committee will be provided a  
7 written follow-up report on Zyvox as requested  
8 by the Committee at the November 16th, 2006,  
9 Pediatric Advisory Committee meeting.

10 The Committee will also be updated  
11 on other activities, including the June 9th  
12 and 10th, 2008, Pediatric Ethics Subcommittee  
13 meeting.

14 Based on the submitted agenda for  
15 the meeting and all financial interest  
16 reported by the Committee participants, it has  
17 been determined that Committee participants do  
18 not have financial interests that present a  
19 potential for conflict of interest at this  
20 meeting. In general, the Committee  
21 participants are aware of the need to exclude  
22 themselves from involvement in discussion of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 topics if their interests would be affected,  
2 and their exclusion will be noted for the  
3 record.

4 We would like to note that Ms. Amy  
5 Celento is participating at the pediatric  
6 health care representative. Ms. Elaine Vining  
7 is participating as the consumer  
8 representative, and Dr. Hudak is participating  
9 at a temporary voting member.

10 We would also like to note that Dr.  
11 Brahm Goldstein is participating as a non-  
12 voting industry representative acting on  
13 behalf of the regulated industry.

14 Dr. Henry Farrar is participating  
15 as the non-voting pediatric health  
16 organization representative, acting on behalf  
17 of the American Academy of Pediatrics.

18 With respect to all other  
19 participants, we ask in the interest of  
20 fairness that they address any current or  
21 previous financial involvement with any firm  
22 whose product they may wish to comment upon.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           We have one open public comment  
2 period scheduled for approximately 1:30 p.m.

3           I would just remind all to turn on  
4 your microphones when you speak so that the  
5 transcriber can pick up all that you state and  
6 turn them off when you're not speaking.

7           I also request that all meeting  
8 attendees turn their cell phones and  
9 BlackBerries to silent mode.

10           Thank you.

11           CHAIRPERSON RAPPLEY: Dr. Murphy.

12           DR. MURPHY: First of all I wanted  
13 to again thank everybody -- I'm afraid our IT  
14 person is going to have to find my slides on  
15 here for me -- for being here this morning and  
16 for agreeing to the four set dates that we  
17 have for this coming year as far as time  
18 commitments on your agenda, in addition to the  
19 other meetings that we've also asked this very  
20 busy Advisory Committee to participate in.

21           One of the things we're going to do  
22 this morning is to look at the agenda from the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 perspective of your new work load, and we're  
2 going to do this because we have good news and  
3 bad news. The good news is that children are  
4 after a decade now of legislation and new  
5 legislation that's reinforcing this approach  
6 finally getting studied or at least they're  
7 getting the products that are being used in  
8 the pediatric population, are finally getting  
9 studied, and we have a lot of activity going  
10 on in the way of pediatric trials.

11 That brings with it, of course, the  
12 responsibilities of making sure that these  
13 trials are well designed and implemented  
14 ethically, and you are involved in a number of  
15 those issues, have been in the past, will be  
16 in the future, and this Committee also being  
17 specifically mandated to look at the safety,  
18 post marketing safety of these products after  
19 they have been granted their exclusivity under  
20 BPCA and now under FDAAA, which gets to your  
21 workload issue, for all of the products that  
22 are studied under either BPCA or PREA, and the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 products that will be labeled as the new  
2 legislation says, because pediatric studies  
3 are so limited in number that any study done  
4 under these initiatives will have its results  
5 commented on in the labeling so that the  
6 public will be aware and the practitioners and  
7 prescribers that at least some study has been  
8 conducted and what the results of that study  
9 are.

10 And I comment on that, again,  
11 because it is unlike the adult universe at FDA  
12 where if you have a negative study, the  
13 information doesn't normally go in the label,  
14 but for pediatrics, the outcome of a negative  
15 or inconclusive study will now be recorded in  
16 the label. And the labeling is what's going  
17 to trigger your safety review.

18 What the Food and Drug  
19 Administration's Amendments Act are so fondly  
20 called, FDAAA, has done for you, has expanded  
21 your responsibilities to include, as I said,  
22 pediatric safety reviews for products studied

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 and labeled under the Pediatric Research  
2 Equity Act, and what this slide should say, in  
3 addition to your already identified  
4 responsibilities to do such under the Best  
5 Pharmaceuticals for Children's Act.

6 The requiring labeling about  
7 pediatric studies performed under these, as  
8 I've said, will be specifically noted  
9 irrespective of the outcome or approval  
10 status, marketing status for that product, for  
11 those studies for that product.

12 This has more than doubled your  
13 workload, and just to hammer home this, from  
14 June of '03 to March of '08, there have been  
15 79 products that have been reviewed at 13  
16 sessions. You have basically reviewed two to  
17 16 products per session, and the only reason  
18 we've limited the number of products to two  
19 sometimes is because you've had additional  
20 issues to deal with, be it an ethics issue or  
21 a science issue or a protocol design issue at  
22 a meeting, and so we've only had time for a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 couple of products.

2           Otherwise, most of the time we're  
3 bringing between eight to 11 products to you  
4 at each session. We tried to bring you the  
5 infamous 16-wheeler or 16 products one time.  
6 There was just so much information because  
7 each product comes with basically five  
8 different documents -- you can do the math --  
9 that you had to plow through that you asked us  
10 to please not do that again.

11           I told you yesterday that we  
12 weren't going to do it again, and then I  
13 turned around and said, well, we really are  
14 and it's actually going to be 19, but we're  
15 going to do it in a different way, and we'll  
16 get to that in a minute.

17           So in five years you had 79  
18 products that you reviewed. We still have 11  
19 products remaining that need to be reviewed  
20 from the BPCA. Since FDAAA has been enacted  
21 in September of 2007, we have 36 new labels.  
22 We have more than that since I prepared this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 slide, but actually 36 new labels so that you  
2 have 47 products that will need to come for  
3 review before the end of 2009.

4 We're going to actually do some of  
5 those today, but the point being there were  
6 almost 80 in five years, and you're now going  
7 to have approximately 40 in one year. So it  
8 doesn't take very much to figure out you're  
9 going to be very busy, and that these product  
10 reviews will now include biologics and  
11 vaccines as far as the safety, and there are  
12 additional responsibilities for devices, which  
13 we reviewed in your training session  
14 yesterday.

15 We will before the end of 2009 be  
16 bringing some biological products to you in  
17 vaccines, and yesterday you received some  
18 additional information and training on how  
19 those safety reviews will be different or the  
20 same.

21 We've had this issue of trying to  
22 make this process more efficient and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 fundamentally the previous Committees have  
2 said don't just give us the top 20 adverse  
3 events. Give us the serious and life  
4 threatening adverse events and the deaths. We  
5 want to see all of those reported to us.

6 And you have struggled with how to  
7 put all of this in context when you don't  
8 really have a good numerator or denominator,  
9 and we reviewed yesterday for you in your  
10 training session the agency's approaches to  
11 trying to provide that kind of information for  
12 you.

13 Some of that comes in the form of  
14 trying to put these adverse events in context,  
15 and so we provide you a very, very succinct  
16 and summary review of what the exclusivity  
17 studies were, focusing on the safety  
18 component. We will be doing that for the PREA  
19 studies also, pediatric studies under PREA,  
20 again, focusing on the safety issues that may  
21 have arisen during those control trials in  
22 addition to the adverse events.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   We also and by law now look at --  
2                   we have always been mandated to look at all of  
3                   the adverse events for adults and children,  
4                   but now the law also says since marketing. So  
5                   we try to put in context for you the adverse  
6                   events that are pediatrics in the context of  
7                   what's been happening with the product both  
8                   for adults and since marketing. That is a big  
9                   task, and we try to condense it down for you  
10                  and pick out, again, those areas that we think  
11                  need to be focused upon, and that's why you  
12                  will see sometimes in these reviews the safety  
13                  reviewer who will say we've been asked to  
14                  focus on the following. It's because we get  
15                  together with the divisions and the pediatric  
16                  staff and the safety reviewers and talk about  
17                  what are the issues that might be already  
18                  existing with these products.

19                  It doesn't mean that you can't  
20                  bring up another topic, but that's just the  
21                  consensus within the agency of where we think  
22                  the issues might be.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           The other thing that we've done in  
2 the past is we've tried to classify the  
3 reviews, the presentations -- let me correct  
4 that -- the presentations into three  
5 categories: either an abbreviated  
6 presentation, a standard presentation, or an  
7 expanded presentation.

8           The Committee made it very clear to  
9 us that they were all right with us having  
10 shorter presentations as long as they got all  
11 of the materials to review, and that's going  
12 to be relevant to the next process that we're  
13 trying to implement.

14           So what we had been doing is we've  
15 been giving you very brief presentation for  
16 the abbreviated products, not going through  
17 all of the exclusivity studies, not going  
18 through all the background with them, and all  
19 I can tell you is maybe it's just human  
20 nature. Maybe it's that we always find it  
21 interesting. Our brief presentations we're  
22 expanding. We found that we really weren't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 getting a real reduction in time and effort,  
2 and we were spending time on products that  
3 didn't really have any signals and really  
4 didn't have any issues.

5 So what we are now proposing is  
6 that if we have identified a product as  
7 abbreviated, you will get the full package  
8 that you always have, but we are not going to  
9 do a presentation. These are products that  
10 we've identified as not having any signal at  
11 all, not even a question, not a lot of deaths.

12 Sometimes there are hardly any use.

13 So what we will be doing is you'll  
14 see today for the ophthalmologic products that  
15 we are going to put up a slide and ask you if  
16 you have any questions that have resulted from  
17 your reading of the materials that we've sent  
18 you for those products which have been  
19 identified as abbreviated.

20 So because the law wants to make  
21 sure that we have public input into this, you  
22 will have an opportunity to ask questions, but

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we aren't going to do a presentation.

2           The other thing that is happening  
3 is that follow-up reports that you have asked  
4 us for, if they do not have any signal or we  
5 have no, you know -- you asked us to monitor  
6 to see if there were any continuing deaths or  
7 serious adverse events and we really don't  
8 have anything that's remarkable that we can  
9 report back to you, we are going to do the  
10 same thing for those follow-ups.

11           Instead of standing up and going  
12 through the whole history of what has  
13 happened, we're going to provide you that  
14 information in the package, but we are not  
15 going to do a presentation. We will put up a  
16 slide and ask you if you have any questions,  
17 and there will be an opportunity for you to  
18 ask questions, and you will see that we've  
19 done that for Zyvox today.

20           The standard will be the same.  
21 Now, we say standard or expanded. Does that  
22 mean we identify the signal? The answer is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 no. It means that it's a complicated review  
2 because either the underlying disease has a  
3 lot of deaths or a lot of complications,  
4 people are on a lot of concomitant meds, there  
5 are a lot of adverse events, there's a lot of  
6 use; it's just something we don't feel  
7 comfortable saying we don't think it needs a  
8 public presentation.

9 Often you'll see the majority of  
10 the products that we present to you, over 67  
11 percent of them will have a recommendation  
12 just to return to routine monitoring, but we  
13 feel that because of the complexity of the  
14 disease and the adverse event reporting that  
15 we need to at least have a public discussion.

16 This is something for you to be  
17 thinking about because you're going to see  
18 we're going to ask you for feedback in the  
19 future. Is there anything that we should be  
20 doing with the standard reviews to somehow  
21 reduce that type of time utilization?

22 The expanded may be a new product

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 that's come or it may be one like we have  
2 today for octreotide where the Committee  
3 struggled with the issue of does this product  
4 have any relationship to these adverse events  
5 that we're seeing in the necrotizing  
6 enterocolitis, the hypoxia.

7 And they said okay. There was a  
8 good discussion. The Committee really could  
9 not come to any conclusions and said we have  
10 some recommendations about labeling at this  
11 point, but if we do that, we want to make sure  
12 that it's clear that we're not making any  
13 causality statement.

14 And you asked us to continue  
15 reviewing and bring it back to you. So in an  
16 effort to bring that discussion to some sort  
17 of conclusion, we've brought in a  
18 neonatologist who is involved with this  
19 product to discuss what's going on out there  
20 in neonatal medicine and the use of this  
21 product, and then we've given you the  
22 background information on the discussion

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 before, and we'll be asking you today for your  
2 recommendations.

3 So that is how we're approaching  
4 the future. The abbreviateds are being even  
5 more abbreviated. There will be no  
6 presentations. You will be receiving packages  
7 for reading only from the follow-ups. There  
8 will be opportunities for comment, but we are  
9 hoping to reduce the time that we are spending  
10 and, therefore, the number of days of meetings  
11 that we have to have you here because we know  
12 there are other ways that we'd like to use  
13 your time.

14 Now, as I said, we've already asked  
15 you to hold four dates for this year. We know  
16 you have other things to do besides safety  
17 review, and the approach that I've just  
18 described, however, helps us with some of the  
19 time management for scheduling how much time  
20 we need you here, but in truth, it does not  
21 decrease your work burden. You still have to  
22 read all of the background material, you know,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 look at the five different documents that  
2 comes for every single one, and for some of  
3 them that are expanded, you'll be getting  
4 literature reviews. You may be getting extra  
5 safety reviews. You may be getting extra  
6 materials. So it really doesn't reduce your  
7 time.

8 And so we are going to be asking  
9 you after our June meeting, which you are  
10 going to receive approximately, we think at  
11 this time, around nine products with an  
12 abbreviated review, plus the others which will  
13 be somewhere between the standard and  
14 expanded, where we'll be asking you to be  
15 providing us feedback as additional ways to  
16 make this process more effective or efficient  
17 so that we don't undermine the intent of this,  
18 which is that there is a focused pediatric  
19 review.

20 Because you saw in your training  
21 yesterday that the adverse event reporting for  
22 the agency is going up overall, but not for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 kids, and it's a very little, teeny part of  
2 the adverse event reporting, and if you don't  
3 go in and retrieve it and pull it out and look  
4 at it separately, you're not going to find  
5 signals for children.

6 So that's the intent of this  
7 process. We don't want to undermine that. We  
8 want it to be a robust process, but we have to  
9 face the reality that you guys can't have  
10 additional housing in Washington so that you  
11 can be here all the time to do the safety  
12 reviews.

13 So on to today. You're going to  
14 get the follow-up report only or you already  
15 got it for Zyvox. We'll have an abbreviated  
16 presentation for the two ophthalmologic  
17 products, Betopic and Timolol, and these, I'm  
18 not going to read the list of all the products  
19 for a standard review and one expanded update.

20 You're one of the busiest of FDA's  
21 Advisory Committees, and as you know, we  
22 appreciate your commitment and expertise, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we figure that working together we will solve  
2 this problem. I know with all of the good  
3 minds around this table, we'll figure out a  
4 way to make this a robust process that focuses  
5 on the things that are really necessary to  
6 focus upon.

7 And, again, we look forward to your  
8 discussion today, and thank you very much for  
9 your time.

10 Now, Judith, do we have the first  
11 slide? Do you want to come up and put the  
12 slides up?

13 CHAIRPERSON RAPPLEY: While Dr.  
14 Cope is getting ready, I just want to make a  
15 comment that I will try to keep us on schedule  
16 and on time in respect of everybody's time  
17 today.

18 Thank you.

19 DR. COPE: Okay. In your package,  
20 you should have gotten a follow-up report on  
21 Zyvox or linezolid. So as Dr. Murphy said,  
22 we're starting the abbreviated review. This

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 was a follow-up from I believe it was November  
2 2006.

3 There was a question of  
4 cardiotoxicity and overall there wasn't any  
5 safety signals or concerns. So we're asking  
6 you if you had any questions about the report.

7 Yes.

8 DR. KOCIS: Of course I'm going to  
9 extend this from the beginning. So actually I  
10 agreed with the conclusions about the review  
11 for the peds review and the lack of cardiac  
12 toxicity, but then I get to the end and then I  
13 see that the FDA is requiring a clinical trial  
14 to look at prolonged QT. So there set me back  
15 a little bit in examining the cardiac cases  
16 that I reviewed and didn't feel there was a  
17 signal to now. Is there information that I  
18 need to know or will know or other information  
19 that could change what I'm going to say?

20 DR. COPE: Okay. We have somebody  
21 sitting here from the division. I think that  
22 my interpretation was that was all ages, but

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 I'm going to let Dr. Boyd. Would you like to  
2 come up?

3 DR. BOYD: Sure. I'm Bill Boyd.  
4 I'm an ophthalmologist, but I'm in the same  
5 division as the anti-infective folks. They're  
6 at a different advisory meeting. Let me try  
7 to answer that.

8 I spoke with the Deputy Division  
9 Director, and the reason that they requested  
10 that study is the explanation was at the time  
11 they did the original studies for the approval  
12 of the product, they didn't have the  
13 methodology in place to do this type of  
14 testing. They want to be complete. They're  
15 not convinced that because of the severity of  
16 illness in the population that they're  
17 studying that they're going to be able to  
18 determine if there's absolutely no safety  
19 signal. It's part of a mechanism they prefer  
20 to go ahead and just have the trial performed,  
21 but it is going to be all ages.

22 DR. KOCIS: And I just bring that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 up because any time you're looking at sudden  
2 in children and prolonged QT being a rare  
3 event, it would be in the same light. So I'm  
4 glad they're going to look at that and  
5 particularly look at it in children.

6 DR. MURPHY: I thought you all  
7 might ask that because again, it is a  
8 confirmatory approach. It's trying to be as  
9 thorough and gather as much data as they can,  
10 but at this time we really couldn't see any  
11 signals.

12 Somebody was talking about all of  
13 the acronyms yesterday. When I was re-  
14 reviewing that last night, you know, all of  
15 those acronyms in the data mining are  
16 explained in the back. So I do hope you got  
17 to the back of that review.

18 Okay. Thank you.

19 So we, therefore, will return this  
20 product to the Committee if anything comes  
21 from that review when those studies come in,  
22 because I think that's what the recommendation

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 from the OSE said, and otherwise we will not  
2 be bringing it back to you.

3 Is that acceptable?

4 CHAIRPERSON RAPPLEY: Anybody  
5 opposed to that?

6 DR. GOLDSTEIN: I have a quick  
7 question and follow-up to Dr. Kocis. Given  
8 the rarity of these events, is that request  
9 feasible?

10 DR. MURPHY: The study you're  
11 talking about?

12 DR. GOLDSTEIN: Yes.

13 DR. MURPHY: Do you want to make  
14 any comments on that?

15 DR. BOYD: My understanding with  
16 our QT study group is that the request is it  
17 is possible it will achieve its objective. I  
18 know that the protocol has been submitted and  
19 is with that group now for review. I actually  
20 don't have more information than that, but my  
21 understanding is it has the potential to  
22 answer the question they're asking.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON RAPPLEY: Thank you.

2 Next.

3 DR. COPE: Okay. As Dr. Murphy  
4 talked about, this is another abbreviated  
5 slide we have in your package, are two  
6 ophthalmologic products, the betaxolol HC  
7 ophthalmologic suspension, or Betopic, and the  
8 timolol gel forming solution.

9 And with the reviews that you  
10 received and all of the work that the team has  
11 done, we see that FDA will continue its  
12 standard ongoing safety monitoring for these  
13 products. That would be the FDA plan, and so  
14 I ask you: does the Committee concur?

15 CHAIRPERSON RAPPLEY: Question?

16 DR. KOCIS: Again, I just have  
17 another process question on both of these  
18 drugs, and again, I agree with the safety of  
19 them, but I was confused. I remember talking  
20 about this the first time we looked at the  
21 drugs.

22 When we talk about safety and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 efficacy, you use the phrase that efficacy has  
2 been extrapolated from the adult data for both  
3 of these drugs, and I'm left in looking at the  
4 adult data that's shown in the package insert  
5 where the drop in the IOP was much greater  
6 than the data that were presented for the drop  
7 in the intraocular pressure in children.

8 I'm not an ophthalmologist, and I  
9 don't know what to expect for things like  
10 that, and while clearly there's a statistical  
11 difference in intraocular pressure, in the  
12 pediatric trials that looked at this, it  
13 wasn't of the same degree as it was at least  
14 in the charts in my reading of the adult data.

15 And so I'm confused as to why we're  
16 splitting efficacy and safety in children or  
17 why we don't report the efficacy findings  
18 under the pediatric section along with the  
19 safety rather than deferring to the adult data  
20 to support efficacy.

21 CHAIRPERSON RAPPLEY: Dr. Boyd.

22 DR. BOYD: Let me make sure I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 understand your question. You are asking  
2 about the difference in the IOP lowering  
3 effect in children versus adults, and it is  
4 difficult to measure IOP in children. It  
5 doesn't mean it can't be done and it doesn't  
6 mean it's not accurate. There's just a  
7 tremendous amount of information on adult IOP  
8 lowering versus pediatric patients.

9 We routinely, when we have studies,  
10 do not specifically request that children be  
11 excluded. So some of the newer trials have  
12 far more children than some of the older.

13 As far as why is there a difference  
14 in the IOP lowering amount, I don't have a  
15 good answer for you, other than I think it's a  
16 statistical effect. There's no reason for me  
17 to suspect that there's a mechanistic reason  
18 for the IOP lowering effect to be different.

19 DR. KOCIS: My only point is that  
20 when you look at the adult data, my read --  
21 I'm not an ophthalmologist and I don't want to  
22 try to interpret these, and I believe efficacy

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 was proven both in adults and in children  
2 based on the approval process.

3           What I'm saying though, if you're  
4 extrapolating pediatric efficacy based on the  
5 adult data, my read on the significance on the  
6 drop in IOP and adult data is, you know, a lot  
7 different than what numbers we're seeing for  
8 the drop in IOP in children, and my only point  
9 would be I would say in the pediatric section  
10 specifically what the decrease in IOP was from  
11 these studies just because we have the data;  
12 you know what the numbers are. How you  
13 interpret it as an ophthalmologist, I'll leave  
14 that to you, but I don't want to mislead  
15 pediatric practitioners that you're going to  
16 see the same effects in the adult studies in  
17 the pediatric studies because at least my read  
18 of the data, that's not the case, and again, I  
19 think there's lots of reasons to think that  
20 increased intraocular pressure in children,  
21 neonates, et cetera, can be a very different  
22 disease than adults.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 DR. MURPHY: Okay. So I just want  
2 to clarify because yesterday during training  
3 we talked about extrapolation. So you're not  
4 really asking about the extrapolation. You're  
5 accepting that the division said they can't  
6 extrapolate because the disease is similar and  
7 they often expect the same response.

8 Your question is why that response  
9 is different.

10 CHAIRPERSON RAPPLEY: No.

11 DR. MURPHY: No?

12 CHAIRPERSON RAPPLEY: I hear Dr.  
13 Kocis' question as we have pediatric data. So  
14 why don't we comment on that data in the  
15 label?

16 DR. MURPHY: Well, that's what I  
17 was getting ready to say. Why don't we say  
18 something about the difference? It's not  
19 whether you can extrapolate. It's that you  
20 did extrapolate, but you had data that showed  
21 that the response -- remember if you go  
22 through extrapolation, you meet those two

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 criteria of the disease and the response or  
2 you think it is and you do hypothesis testing  
3 and you see that it does, which is sort of the  
4 situation which you're describing now, and you  
5 have differences. So why not put that in the  
6 label?

7 But that's your question. It's not  
8 a safety question. It's a labeling question.

9 DR. KOCIS: It's specifically a  
10 labeling question, and the consistency of the  
11 safety and efficacy from the peds data being  
12 in the peds label rather than splitting it and  
13 saying, well, we're going to show efficacy  
14 from the adult studies, but then safety from  
15 the peds studies. It's incongruent in my  
16 thinking.

17 DR. LISA MATHIS: I think one thing  
18 to be really careful about is when the  
19 pediatric studies are intended to support  
20 extrapolation, they are not powered to  
21 demonstrate the same effect as you're seeing  
22 in adults. So it may be misleading to put the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 information in there in a way that seeks to  
2 directly compare the efficacy.

3 So I hear what you're saying.  
4 Maybe next time we'll look at this and  
5 consider putting the data into the label, but  
6 we'll have to do it in a way that doesn't  
7 mislead clinicians and patients to believe  
8 that there perhaps is less efficacy in the  
9 pediatric population simply because the  
10 studies weren't powered to demonstrate that.

11 DR. KOCIS: I would just go back to  
12 we have pediatric data which is rare, and when  
13 we have it, we should include it and then  
14 clearly we can put all of the caveats that  
15 there's power to show this and there was a  
16 range of effect and, you know, put it into the  
17 clinical context, but we have the data, and it  
18 seems less than ideal to not include it in the  
19 label.

20 CHAIRPERSON RAPPLEY: Dr. Mathis,  
21 when would be the next time when you referred  
22 to next time?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 DR. LISA MATHIS: Perhaps the next  
2 time a product comes in. I'm not sure if  
3 going back and changing this label that was  
4 actually done a year ago is going to provide  
5 any clinical benefit to patients. So I'm  
6 saying the next time that a product comes in  
7 or the next time perhaps that this product  
8 comes in with another application, that might  
9 be a time to address it.

10 But from a workload standpoint I'm  
11 not sure how much bang we'd get for our buck  
12 going back and changing this label. I don't  
13 think that that's the intent of this Committee  
14 either.

15 CHAIRPERSON RAPPLEY: Dr. Kocis, do  
16 you feel you've made your point?

17 DR. KOCIS: Yes, I've made my  
18 point.

19 CHAIRPERSON RAPPLEY: Thank you.

20 DR. KOCIS: You know, the pediatric  
21 labeling, I know that that's our focus to  
22 strengthen that part, and I think we can

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 strengthen it in these two drugs.

2 CHAIRPERSON RAPPLEY: Yes.

3 DR. MURPHY: I guess the message  
4 back to the division from the Committee, if I  
5 can summarize, is that in light of the intent  
6 to get information in the label, even when you  
7 are extrapolating, if there's a way when you  
8 see differences like that in that part where  
9 you're doing, again, I call it hypothesis  
10 testing that you can extrapolate and you have  
11 the data; if there's a way to put it in the  
12 label so that physicians understand because I  
13 think Lisa's point is really critical that  
14 it's not that it was inferior. It's just that  
15 it was limited data, and it had an effect,  
16 okay, and this is the range of the effects.

17 That would be the recommendation of  
18 the Committee for future approaches to the  
19 labeling of these products.

20 CHAIRPERSON RAPPLEY: Maybe any  
21 time we have pediatric data we would like to  
22 be able to refer to it with all of its

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 limitations clearly described.

2 DR. BOYD: For whatever reason when  
3 people study IOP lowering drugs, it's very  
4 common to see one or two millimeters of  
5 decrease even in people who receive the  
6 placebo all the time. So that's some of what  
7 you're seeing with the pediatric data. There  
8 just aren't as many patients, but I understand  
9 what you've brought up today, and I'll take  
10 that back to the division.

11 CHAIRPERSON RAPPLEY: So the  
12 question before us then for these two  
13 medications, that is, betaxolol and timolol,  
14 the statement is FDA will continue its  
15 standard ongoing safety monitoring for these  
16 products. Does the Committee concur?

17 Is anyone opposed?

18 So there is consensus on the  
19 Committee.

20 DR. COPE: Thank you.

21 CHAIRPERSON RAPPLEY: Thank you.

22 Our next is Risperdal and Dr.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Collins.

2 DR. MURPHY: Just before we go  
3 forward, Lisa made a point which I think we  
4 brought it out yesterday, but let's put it in  
5 the public realm since we did mention it  
6 yesterday about the opportunity now. We have  
7 with FDAAA for reviewing labeling. Do you  
8 want to address that, Lisa?

9 DR. LISA MATHIS: We do have the  
10 Pediatric Review Committee now. So we do look  
11 at labeling prior to approval, and so there  
12 will be more opportunity to provide feedback  
13 to the divisions before approval occurs, and I  
14 think that we actually are trying to make sure  
15 that data does get into labeling if we have  
16 it.

17 So we'll address that in the  
18 future. I just want you to know that we have  
19 more opportunity to do that now.

20 DR. MURPHY: And, Marsha, because  
21 actually we failed, meaning FDA failed, to ask  
22 to do this one time and it resulted in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Committee not being aware, the people at the  
2 table, I wanted to make sure that when we have  
3 the different people come up for the different  
4 products that we're introducing the speaker,  
5 but I'd also like to have the people at the  
6 table from the division who are here to please  
7 introduce themselves.

8 DR. LAUGHREN: I'm Tom Laughren.  
9 I'm the Director at the Psychiatry Products  
10 Division.

11 DR. MITCHELL MATHIS: And I'm  
12 Mitchell Mathis, the Deputy Director of that  
13 same division.

14 DR. MURPHY: Tom, would you just  
15 tell them your background?

16 DR. LAUGHREN: I'm a psychiatrist  
17 by training, and I've been with FDA roughly 25  
18 years.

19 DR. MITCHELL MATHIS: I'm a  
20 psychiatrist and family practitioner by  
21 training, and I've been with FDA for about  
22 eight years.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MURPHY: Felicia, would you  
2 introduce yourself, please?

3 DR. COLLINS: Sure. Good morning,  
4 everyone. My name is Dr. Felicia Collins. I  
5 am a general pediatrician within the Pediatric  
6 and Maternal Health staff with the clinical  
7 practice area exclusively in adolescent  
8 medicine.

9 And this morning I'm pleased to be  
10 able to present to you the one-year, post  
11 exclusivity adverse event review for  
12 risperidone.

13 Oral Risperdal, or risperidone, is  
14 an atypical antipsychotic for which Janssen is  
15 the drug sponsor. Original market approval  
16 occurred on December 29th, 1993, and pediatric  
17 exclusivity was granted on February 28th,  
18 2007.

19 Prior to the pediatric exclusivity  
20 studies, oral Risperdal was indicated for the  
21 treatment of schizophrenia in adults, the  
22 short-term treatment of acute manic or mixed

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 episodes associated with Bipolar I Disorder in  
2 adults, and the treatment of irritability  
3 associated with autistic disorder in children  
4 and adolescents.

5 The next two slides provide  
6 information about the use of risperidone in  
7 out-patient settings. Seven, point, eight  
8 million oral risperidone prescriptions were  
9 dispensed for all age groups during the 12-  
10 month pre and post exclusivity period. Ten  
11 percent of these prescriptions were for  
12 adolescents, 13 to 17 years old, and 15.5  
13 percent were for children zero to 12 years  
14 old.

15 There was a two percent increase in  
16 prescriptions for all age groups between the  
17 12-month pre and post exclusivity period and a  
18 ten percent increase for the pediatric  
19 population. Psychiatry was the top  
20 prescribing specialty during the post  
21 exclusivity period. All psychiatrists  
22 prescribed 53.4 percent of all oral

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 risperidone prescriptions. Child  
2 psychiatrists prescribed 11.4 percent of all  
3 prescriptions. Pediatricians prescribed 3.6  
4 percent of all prescriptions and child  
5 neurologists prescribed one percent of all  
6 prescriptions.

7 The top diagnosis codes associated  
8 with oral risperidone use by children zero to  
9 17 years old were infantile autism and  
10 attention deficit disorder.

11 On November 25th, 2002, the FDA  
12 issued a written request for studies of oral  
13 risperidone in the acute treatment of  
14 schizophrenia in pediatric patients 13 to 17  
15 years old and in the acute treatment of mania  
16 and Bipolar I Disorder in pediatric patients  
17 ten to 17 years old.

18 The resulting pediatric exclusivity  
19 studies included five studies: one  
20 pharmacokinetic study, three efficacy and  
21 safety studies, and one safety study.

22 The results of the submitted

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 pediatric exclusivity studies indicated that  
2 risperidone is effective and reasonably safe  
3 for the studied indications in pediatric  
4 patients.

5 The following two slides list all  
6 of the labeling sections that were changed  
7 based on the results of the pediatric  
8 exclusivity studies. Changes were made to the  
9 indications and usage section, dosage and  
10 administration section, adverse reaction  
11 subsection on commonly observed adverse  
12 reactions in placebo controlled clinical  
13 trials on discontinuations due to adverse  
14 reactions and on changes in ECG to the use in  
15 the specific population section, pediatric use  
16 subsection, and to the clinical study section.

17 The next five slides will provide  
18 details of selected labeling changes. The  
19 indication and usage section was changed to  
20 extend the schizophrenia indication to  
21 adolescents 13 to 17 years old, and to extend  
22 the bipolar mania indication to children and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 adolescents ten to 17 years old.

2 The dosage and administration  
3 section was changed to note that no additional  
4 benefit was seen above three milligrams per  
5 day in the schizophrenia studies or above 2.5  
6 milligrams per day in the bipolar mania  
7 studies.

8 In addition, this section notes  
9 that for both indications higher doses were  
10 associated with more adverse events.

11 The adverse reaction section,  
12 discontinuations due to adverse reaction  
13 subsection was changed to note that for the  
14 schizophrenia studies approximately seven  
15 percent of patients discontinued in the  
16 risperidone group versus four percent in the  
17 placebo group.

18 Adverse reactions associated with  
19 study discontinuation in the risperidone group  
20 included somnolence, dizziness, anorexia,  
21 ataxia, hypotension, and palpitation. This  
22 subsection also was changed to note that for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 the bipolar mania studies 12 percent of  
2 patients discontinued in the risperidone group  
3 versus seven percent in the placebo group.  
4 Adverse reactions associated with study  
5 discontinuation in the risperidone group  
6 included somnolence, nausea, abdominal pain,  
7 and vomiting.

8 The use and specific population  
9 section, pediatric use subsection was changed  
10 to note that for the schizophrenia studies 14  
11 percent reported a weight increase and open  
12 label studies, and there was a mean weight  
13 increase of nine kilograms after eight months  
14 of treatment in 103 adolescents.

15 For the bipolar mania studies, it  
16 was noted that increased body weight was  
17 higher in the risperidone group than the  
18 placebo group, although not dose related.

19 This subsection also was changed to  
20 note that somnolence was the most commonly  
21 observed adverse event in pediatric  
22 schizophrenia and bipolar disorder trials. In

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 addition, the subsection notes that in  
2 controlled pediatric schizophrenia or bipolar  
3 disorder trials, hyperprolactinemia was seen  
4 in 82 to 87 percent of children and  
5 adolescents in the risperidone group versus  
6 three to seven percent in the placebo group.

7 Moving now from the exclusivity  
8 studies to post marketing reporting, this  
9 table describes the adverse event reports  
10 since marketing approval. For pediatric  
11 patients there were 1,535 adverse event  
12 reports which comprise 7.5 percent of the  
13 total reports.

14 Of these reports, there were 48  
15 death reports with 33 being U.S. cases. Of  
16 the 48 crude count pediatric death reports  
17 identified since marketing approval, 17 of  
18 these were duplicates. Of the 31 unique  
19 pediatric cases, four involved an  
20 indeterminate cause of death, and the 27  
21 remaining cases involved ten nervous system,  
22 nine cardiac system, and eight miscellaneous

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 cases.

2 After reviewing the 31 unique  
3 pediatric death cases, the safety reviewer did  
4 not identify any new safety concerns. There  
5 are multiple sections of the drug labeling  
6 that are relevant to the pediatric death  
7 cases. The warnings and precautions section  
8 of the drug labeling include subsections on  
9 seizures, neuroleptic malignant syndrome,  
10 hyperglycemia, and diabetes mellitus with  
11 worsening glucose control, orthostatic  
12 hypertension, and suicide.

13 The adverse reaction section of the  
14 drug labeling includes arrhythmia,  
15 hypotension, pulmonary embolism, and  
16 cardiopulmonary arrest.

17 The next several slides provide  
18 more details for the 27 death cases, and you  
19 will note that unlabeled events have been  
20 underlined. Of the ten nervous system cases,  
21 five cases involve adolescents who died after  
22 a seizure or related complication while on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 risperidone.

2 Two cases involve patients with a  
3 history of epilepsy and one additional case  
4 involved concomitant paroxetine use, which has  
5 a labeled seizure association.

6 The sixth case involved a seven  
7 year old who experienced encephalitis,  
8 hypotension, arrhythmia, and cerebral edema,  
9 and died two days after risperidone therapy.

10 There were three cases involving  
11 children who died of neuroleptic malignant  
12 syndrome, or NMS-like symptoms while on  
13 risperidone. Of note, one case involved  
14 concomitant medications with a labeled NMS  
15 association.

16 And the last nervous system case  
17 involved a nine year old who died due to a  
18 cavernous angioma 12 days after initiating  
19 risperidone therapy.

20 For the cardiac cases, two cases  
21 involved children who died from cardiac arrest  
22 while on risperidone without concomitant

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 medications, but these case reports lack  
2 significant details.

3 And two additional cases involve  
4 children with congenital heart disease who  
5 died due to cardiac arrhythmia or sudden death  
6 while on risperidone.

7 The fifth cardiac case involved an  
8 11 year old female who died of myocarditis one  
9 month after initiating risperidone therapy.

10 A sixth case involved a seven year  
11 old male who experienced QTc prolongation and  
12 died due to a heart attack after initiating  
13 therapy with risperidone.

14 The seventh case involved a 16 year  
15 old male with a family history of Protein S  
16 deficiency who experienced an upper  
17 respiratory infection and a presumed pulmonary  
18 embolism and died three months after  
19 initiating therapy with risperidone.

20 And the last two cardiac cases  
21 involve an 11 year old and a 16 year old on  
22 risperidone who died possibly due to left

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 ventricular hypertrophy.

2           The last eight death cases are  
3 summarized on this slide. Six of the eight  
4 cases involved a single report for an adverse  
5 event and no patterns were identified. The  
6 cases include a 14 year old who had a viral  
7 infection and cardiorespiratory arrest prior  
8 to death and while on risperidone; a 14 and a  
9 12 year old who died from suicide which is  
10 labeled association; a 13 year old on  
11 risperidone who had pneumonia, septicemia,  
12 congestive heart failure, and cardiac arrest  
13 and died; an eight year old with diabetes who  
14 had a hypoglycemic seizure and died while on  
15 risperidone; a six year old who died after an  
16 accidental ingestion of multiple medications,  
17 including risperidone; a five year old who  
18 died after a near drowning within three months  
19 of initiating risperidone therapy; and a one  
20 year old who died of suffocation after  
21 receiving her mother's risperidone.

22           Now, going back to the table

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 describing adverse events since marketing  
2 approval, for pediatric patients, there were  
3 1,207 pediatric serious adverse event reports  
4 with 860 of these being U.S. cases. You will  
5 note that the definition of a serious adverse  
6 event that was used when identifying these  
7 cases is provided in the footnote.

8 Now, looking at the post  
9 exclusivity period for pediatric patients  
10 there were 131 serious adverse event report  
11 with 42 of these being U.S. reports.

12 Of the crude count, 131 pediatric  
13 serious adverse event reports identified  
14 during the post exclusivity period, 15 reports  
15 were excluded because they were duplicates.  
16 Of the 116 remaining unique pediatric cases,  
17 no new safety concerns were identified.

18 The safety reviewer gave particular  
19 attention to 35 cases involving labeled  
20 metabolic extrapyramidal and gynecomastia and  
21 hyperprolactinemia events to see if there was  
22 a qualitative or quantitative difference in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the reports for pediatric patients compared to  
2 adults.

3           Again, there are multiple sections  
4 in the drug labeling that are relevant to  
5 these selected serious adverse events. The  
6 warnings and precautions section of the drug  
7 labeling include subsections on hyperglycemia  
8 and diabetes mellitus, tardive dyskinesia, and  
9 hyperprolactinemia.

10           The adverse reaction section of the  
11 drug labeling mentions extrapyramidal symptoms  
12 and gynecomastia.

13           The 15 metabolic effect cases  
14 included cases of increased weight, diabetes  
15 mellitus, diabetic ketoacidosis and/or  
16 glycosuria. The 14 extrapyramidal cases  
17 included three tardive dyskinesia and 11 other  
18 extrapyramidal effect cases.

19           Lastly, there are four gynecomastia  
20 cases and two cases of hyperprolactinemia.  
21 Again, these events are consistent with  
22 current labeling.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   This chart describes the various  
2 combinations of metabolic serious adverse  
3 events that were reported in pediatric  
4 patients. You will note that there were three  
5 groups of reports for diabetes alone or  
6 diabetes combined with another metabolic  
7 adverse event.

8                   Of the 81 other pediatric serious  
9 adverse event cases during the post  
10 exclusivity period, the safety reviewer  
11 provided case counts according to the  
12 categories listed on this slide. There were  
13 29 cases with labeled events and 53 cases with  
14 unlabeled events.

15                   The drug labeling sections relevant  
16 to these other serious adverse events are the  
17 contraindications section, which includes  
18 hypersensitivity reactions, including  
19 angioedema, the warnings and precaution  
20 section, which includes cerebrovascular  
21 events, including stroke and transient  
22 ischemic attack, neuroleptic malignant

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 syndrome, tardive dyskinesia, hyperglycemia  
2 and diabetes mellitus with worsening glucose  
3 control, hyperprolactinemia, orthostatic  
4 hypotension, seizures, and suicide.

5 The adverse reaction section  
6 controlled clinical trials subsection mentions  
7 arrhythmia, bradycardia, and tachycardia,  
8 leukopenia, anxiety, tremor, increased SGOT  
9 and SGPT, edema, and vomiting.

10 The post marketing experience  
11 subsection includes pulmonary embolism,  
12 cardiopulmonary arrest, thrombocytopenia,  
13 precocious puberty, angioedema, and  
14 pancreatitis, and the drug interaction section  
15 discusses how risperidone use can result in  
16 increased valproate plasma concentrations.

17 Of the 53 unlabeled events, no new  
18 safety concerns were identified. There were  
19 30 non-therapeutic uses, including accidental  
20 exposures, intentional misuse or overdose and  
21 poisoning of food, 14 events that involved a  
22 single case report, and seven other adverse

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 event types reported in two to four cases.

2 Of note, the four cases of  
3 agitation during the switch from risperidone  
4 to methylphenidate are suggestive of off-label  
5 use for attention deficit hyperactivity  
6 disorder in which agitation can be part of  
7 that disorder.

8 Lastly, some of the remaining  
9 serious adverse events are consistent with  
10 schizophrenia or Bipolar I disorder, such as  
11 hallucinations, aggression, and self-injurious  
12 behavior. However, these events also can be  
13 seen in children and adolescents without these  
14 psychiatric diagnoses.

15 This completes the one-year post  
16 exclusivity adverse event reporting. The  
17 safety review did not reveal any new safety  
18 concerns for oral risperidone as the  
19 identified adverse events were qualitatively  
20 similar to those currently found in the  
21 product labeling and described in the adult  
22 population.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   Therefore, FDA will continue its  
2 standard ongoing safety monitoring for oral  
3 risperidone. And then the question to you is:  
4       does the Advisory Committee concur?

5                   And in closing I just would like to  
6 acknowledge the assistance I received in  
7 preparing for this presentation from numerous  
8 FDA staff in the Office of Surveillance and  
9 Epidemiology, the Division of Psychiatry  
10 Products, the Office of Clinical Pharmacology,  
11 the Office of Pediatric Therapeutics, and the  
12 Pediatric and Maternal Health staff.

13                   Thank you.

14                   CHAIRPERSON RAPPLEY: Thank you.

15                   We're open to questions.

16                   DR. RAKOWSKY: I have a question  
17 for Dr. Laughren, please.

18                   We have a very nice report from Dr.  
19 Governale looking at the use of Risperdal over  
20 the last three years. In looking at the zero  
21 to 12 age range there's been basically a  
22 stable use in that age range, but the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 percentage of change allowed to have the  
2 diagnosis or the coding of infantile autism,  
3 is that a code that will be used only for  
4 children less than two or is that a diagnosis  
5 code that you would use for any pediatric age?

6 In other words, the question is are  
7 we seeing more use in off label, in other  
8 words, less than five year olds, based on what  
9 we're seeing in the use data.

10 DR. LAUGHREN: Yes, I don't have an  
11 answer to that question. You know, in the  
12 division we're not the ones who collect the  
13 data on use. Maybe, Felicia, you could  
14 comment on that code infantile autism. Is  
15 that ICD-9?

16 DR. COLLINS: Actually I would need  
17 to defer to someone in the Office of  
18 Surveillance and Epidemiology.

19 CHAIRPERSON RAPPLEY: Please use  
20 the mic.

21 DR. BORDERS-HEMPHILL: I'm sorry.  
22 I'm Vicky Borders-Hemphill.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   That is an ICD-9 code that we use,  
2                   and we only looked at age groups zero to 12.

3                   DR. RAKOWSKY:    Would the infantile  
4                   autism ICD-9 code basically be used for any  
5                   child with autism less than 12, for example,  
6                   and still be termed infantile autism, or is  
7                   that just a subset of younger children of  
8                   autism that this is being used for?

9                   DR. BORDERS-HEMPHILL:    Well, we  
10                   also saw it as an ICD-9 code for 13 to 17 year  
11                   olds as well.

12                   DR. RAKOWSKY:    So probably more of  
13                   a broad range.

14                   DR. BORDERS-HEMPHILL:    Right.

15                   DR. RAKOWSKY:    Okay.

16                   CHAIRPERSON RAPPLEY:    Dr. Dure.

17                   DR. DURE:    Yes.    I have a question  
18                   for the psychiatry products group, too,  
19                   because I'm a child neurologist, and I have a  
20                   bias that extrapyramidal syndromes are really  
21                   under-recognized with the use of these agents,  
22                   and I would be concerned or my question is:

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 is enough being done because to try to at  
2 least educate people or do you have a concern  
3 about that on your panel?

4 It didn't take long for me to find  
5 out about diabetes mellitus and  
6 hyperprolactinemia with these agents a few  
7 years ago. I heard about that very quickly,  
8 but neuroleptic malignant syndromes, serotonin  
9 syndromes and akathisia, things like that.  
10 There is a lot of concern in the literature  
11 about people's ability to recognize this.

12 Do you feel like, in your  
13 Committee, do you feel like enough is being  
14 done to keep the public and the practitioners  
15 aware?

16 DR. LAUGHREN: Well, we think this  
17 drug is reasonably adequately labeled with  
18 regard to extrapyramidal side effect. You  
19 know, it's not really probably FDA's primary  
20 responsibility to go beyond that to educate  
21 the community.

22 I think it really falls more to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 various practice associations to educate their  
2 members, but you know, we're open to  
3 suggestions about what you think we might be  
4 able to do to further educate.

5 CHAIRPERSON RAPPLEY: Dr. Farrar.

6 DR. FARRAR: I would like to follow  
7 up on that because I agree. I think one of  
8 the things that I have seen is a lot of very  
9 hard to define movement disorders in kids who  
10 are being treated off label with this, and  
11 this is just my experience in the clinical  
12 setting, and I don't have any hard numbers to  
13 really say what that means.

14 And so I thought it was interesting  
15 that of the movement disorders, 11 of them  
16 were described as other extrapyramidal, and so  
17 it sounds like there's kind of this general  
18 tendency out there for people to have a hard  
19 time deciding what it is. These kids are not  
20 fitting really typical patterns it doesn't  
21 sound like.

22 Again, I'm not sure what other

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 studies need to be done.

2 One of the other things that I was  
3 interested in when I looked through this is  
4 that although from looking at the prescribing  
5 on page 125, yes, bipolar and schizophrenia  
6 are the most common diagnoses for which these  
7 drugs are prescribed, but all others is 99,000  
8 or almost half of the use of this.

9 Again, you all can't set policy.  
10 You all can't tell doctors how to prescribe  
11 drugs, and so I think you're caught a little  
12 bit here, but these drugs are being used, and  
13 plus that's in the zero to 12 year group, and  
14 so just the data looks like there's a  
15 tremendous amount of off-label use of these  
16 drugs going on out there.

17 I'm not sure. I agree there's not  
18 much you can do with the label right now  
19 because qualitatively what you're seen in your  
20 reports and the data you have looks like what  
21 you talk about in the label, but I don't know.

22 I'm not sure if we can make a recommendation

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 or what this Committee can do to try to  
2 encourage more study of these drugs,  
3 especially in children, because I think  
4 there's a lot of off label use and I think  
5 there are a lot of side effects that are not  
6 fitting into the normal categories very well.

7 CHAIRPERSON RAPPLEY: Dr.  
8 Goldstein.

9 DR. GOLDSTEIN: Again, this is not  
10 my area of expertise, but in reading through  
11 the data there clearly is a statement that  
12 there's a dose response effect regarding  
13 safety, and there's also repeatedly in the  
14 label that there is no control data to support  
15 long-term use either in schizophrenia, bipolar  
16 mania, or the irritability associated autistic  
17 indications.

18 So given that there are significant  
19 metabolic effects, CNS effects and cardiac  
20 effects, and especially the metabolic effects  
21 which one would assume would accrue over time,  
22 my questions are, not being a practicing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       psychiatrist:    what's the typical length of  
2       treatment?    Do we have any data on the long-  
3       term use from the adverse event reporting?  Is  
4       there any way to ferret that out?  Is there a  
5       cumulative or is there the possibility that  
6       there's a cumulative dose effect?

7                    And then my last question is that  
8       when you look at the label statements  
9       regarding extended periods, the statement  
10      under schizophrenia is different than that  
11      under bipolar mania and autistic.    The  
12      statement for schizophrenia just cautions the  
13      physician who uses Risperdal for extended  
14      periods of time to periodically reevaluate the  
15      long-term usefulness, whereas the statements  
16      for bipolar mania and irritability associated  
17      with autistic disorder caution to reevaluate  
18      long-term risk and benefits.

19                   DR. LAUGHREN:    Well, in terms of  
20      the first question about long-term safety,  
21      it's very difficult to get good, systematic,  
22      long-term safety data in anyone, but in kids

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in particular. The labeling describes the  
2 data that we have, and those are, you know,  
3 from open label extensions, and we give some  
4 descriptive numbers of what happens. You  
5 can't really get long-term control data. In  
6 other words, you couldn't do a year long  
7 placebo controlled trial and systematically  
8 look at the cumulative effects. You can only  
9 look at a cohort.

10 And those are suggestive that there  
11 are some cumulative effects, and we've  
12 reported that in the labeling, but you know,  
13 we agree that these drugs, this drug included  
14 among the atypicals, have metabolic burden.  
15 You know, they increase weight. They alter  
16 lipid profiles. They have effects on glucose,  
17 and we think that's important for prescribers  
18 to know, and we think the labeling, you know,  
19 clearly expresses that concern.

20 CHAIRPERSON RAPPLEY: Dr.  
21 Notterman, then Dr. Kasic, and we have two  
22 others in the wings.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. GOLDSTEIN: I'm sorry. Why is  
2 there a difference in the recommendations to  
3 the physician for schizophrenia as compared to  
4 the other two?

5 DR. LAUGHREN: Can you again say  
6 exactly what you're referring to?

7 DR. GOLDSTEIN: It's on page 152 of  
8 my booklet under schizophrenia, the last  
9 statement, the first paragraph at the top of  
10 the page. The physician who elects to use  
11 Risperdal for extended periods in adolescents  
12 with schizophrenia should periodically  
13 reevaluate the long-term usefulness of the  
14 drug for the individual patient.

15 DR. LAUGHREN: Okay.

16 DR. GOLDSTEIN: But then on page  
17 153 and again on 154 under the bipolar and the  
18 autistic sections, the last paragraph on page  
19 153 -- I'm sorry -- the second paragraph, the  
20 last sentence on page 153, it says, The  
21 physician who elects to use Risperdal for  
22 extended periods should periodically

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 reevaluate the long-term risks and benefits of  
2 the drug for the individual patient.

3 And that same sentence is used for  
4 the autistic. So my question is that it just  
5 looks like efficacy is being recommended for  
6 follow-up under schizophrenia, whereas  
7 efficacy and safety is being recommended for  
8 the other two conditions.

9 It just seems to be inconsistent.

10 DR. LAUGHREN: I'm sure that was  
11 inadvertent, you know. It certainly wasn't  
12 intended that one wouldn't look at both  
13 efficacy and safety long term. So it's  
14 something we can consider fixing.

15 CHAIRPERSON RAPPLEY: Dr.  
16 Notterman.

17 DR. NOTTERMAN: A review of the  
18 prescribing indications shows that there's a  
19 substantial amount of prescribing for ADD in  
20 the under 12 group, 16.8 percent in the latest  
21 dates. And I wonder if in light of some of  
22 the toxicities and adverse effects that you've

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 acknowledged are significant, the metabolic  
2 burden, we have given substantially enough  
3 weight to these adverse events in light of the  
4 off label indications for which the drug is  
5 being prescribed.

6 So by that I mean in balancing the  
7 benefit and risk of the drug and the burden of  
8 the drug, the balance seems clearly in favor  
9 when used for a disorder such as schizophrenia  
10 or another psychotic illness.

11 However, it doesn't seem to favor  
12 the use of this agent in certain unlabeled  
13 indications, in particular for ADD, and so I  
14 guess my question is whether some other  
15 action, for example, a notice to prescribers  
16 regarding the use in ADD is worth considering  
17 in the future.

18 DR. LAUGHREN: You know, it's hard  
19 to tease out from the data exactly what the  
20 drug is being prescribed for in kids with  
21 ADHD. I suspect what it is is being used for  
22 co-morbid either oppositional defined disorder

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 or conduct disorder since that's in child  
2 psychiatry probably the most widely used  
3 diagnosis. You can't really tease that out  
4 from these data.

5 But to your question about, you  
6 know, what can FDA do in terms of off label  
7 prescribing, again, you've heard this many  
8 times, but we don't regulate the practice of  
9 medicine. Once we put a drug out there, we  
10 can clearly say in the label what it is  
11 indicated for, you know, what the appropriate  
12 use is from our standpoint for those approved  
13 indications.

14 Again, we're open to suggestions,  
15 but it's not clear what you would want FDA to  
16 do to try and influence the way the drug is  
17 used in the community.

18 DR. NOTTERMAN: Well, I do agree  
19 that some of the use at least that I'm aware  
20 of is for oppositional defined disorder, but I  
21 think there's also substantial use for ADD  
22 without those characteristics.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           And as for what I think FDA should  
2 consider, it is the evaluation of the adverse  
3 effects in light of the actual use of the  
4 drug, and in particular, to consider whether  
5 -- and it may be that there isn't and it may  
6 be that you're right and this is misleading  
7 coding, but to consider whether there is  
8 substantial use by practitioners for this  
9 indication in the context of a significant  
10 metabolic burden.

11           I also have one other question  
12 related to that, and that is whether or not  
13 there's data on QTc prolongation for this  
14 agent when used in monotherapy.

15           DR. LAUGHREN: If you look at the  
16 labeling under ECG, there were changes made on  
17 the basis of the new data that came out of  
18 these studies, which basically says that there  
19 weren't any important changes noted other than  
20 a slight increase in pulse rate.

21           DR. NOTTERMAN: So do you know if  
22 QTc was specifically included in that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 surveillance?

2 DR. LAUGHREN: Well, ECGs were  
3 collected, but of course, this is in the  
4 context of a typical clinical trial rather  
5 than a thorough QT study. So, you know, it's  
6 true that you can't take quite as much away  
7 from that as you could from a thorough QT  
8 study, but this compound risperidone has been  
9 looked at a lot for QT, and it doesn't appear  
10 to have much of a signal.

11 DR. NOTTERMAN: Thanks.

12 CHAIRPERSON RAPPLEY: Dr. Kocis.

13 DR. KOCIS: In looking at this drug  
14 compared to many of the drugs that we're going  
15 to review or have reviewed over the few years  
16 that I've been here, this is somewhat unique  
17 in that it's being used in -- 25 percent of  
18 its use has been in pediatrics. It's a drug  
19 that has many effects, some that are serious,  
20 and I would disagree with your assessment that  
21 the FDA is passive in this thing and what they  
22 can do.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           My sense of reading this, there are  
2 some very serious signals and my read on the  
3 labeling is that it's inadequate to those  
4 signals that you've known about, we've known  
5 about, and it doesn't emphasize the life  
6 threatening side effects.

7           So for me when I read through this  
8 -- and, again, I don't use these drugs myself.

9           So it's simply naive as I read through these  
10 things -- that I think it's inadequate in  
11 labeling for seizures in the sense that it  
12 doesn't include -- there are seizures and then  
13 there is -- epileptic that's leading to  
14 seizures and death. There's the metabolic  
15 effects where we talk about hypoglycemia and  
16 diabetes, but there's also diabetic  
17 ketoacidosis that's not emphasized. I'm not  
18 sure if that led to death.

19           And then the cardiac toxicities  
20 were reviewed and apparently they brought in a  
21 consultant to review that, and it ties  
22 somewhat into the QT studies, and I'm curious

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about that, if you acquire the EKGs, why QT  
2 studies weren't -- or I don't know the  
3 results. Maybe they were done. I don't know  
4 what that impact was, but I'm curious as to  
5 what the consultant found and reviewed to see  
6 if there's additional things we need to  
7 monitor.

8           And then the final comment is on  
9 behalf of the sponsor, in the labeling when  
10 they talk about the long-term effects of  
11 Risperdal on growth and sexual maturation have  
12 not been fully evaluated, I find that lacking  
13 in the sense that we know it has profound  
14 impact on prolactin and other endocrine things  
15 that I believe should require them to study  
16 this in children who are undergoing sexual  
17 maturation.

18           DR. LAUGHREN: Well, I'm a little  
19 puzzled about your statement that labeling is  
20 inadequate with regard to some of these  
21 serious risks. These are all warning  
22 statements, very prominent warning statements.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       You know, the statement on hyperglycemia  
2       talks about the possibility of ketoacidosis,  
3       although I must say that what you're dealing  
4       with are individual reports, spontaneous  
5       reports of children developing what in many of  
6       these cases of ketoacidosis is Type 1  
7       diabetes.

8               The kind of diabetes that we expect  
9       to see with a drug like an atypical  
10       antipsychotic which induces weight gain and  
11       lipid changes and hyperglycemia is Type 2  
12       diabetes. The end stage of that would be  
13       hyperosmolar coma. You see ketoacidosis with  
14       Type 1 diabetes.

15               There's no particular reason to  
16       believe that this drug induces Type 1  
17       diabetes. More likely what you're seeing are,  
18       you know, the natural occurrence in this age  
19       group where it's the peak onset of Type 1  
20       diabetes.

21               So again, I'm puzzled by --

22               CHAIRPERSON RAPPLEY: Excuse me.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 To that point, I believe I've read in the  
2 material that you've compiled for us that  
3 there have been spontaneous reports of  
4 hyperosmolar ketoacidosis, and that, in fact,  
5 people do recognize and accept the risk of  
6 Type 2 diabetes with the metabolic syndrome,  
7 have been part of the metabolic syndrome.

8 So I wouldn't want to diminish that  
9 as a risk factor because children are also  
10 developing Type 1.

11 DR. LAUGHREN: I totally agree, but  
12 again, I'm anxious to hear suggestions about,  
13 you know, what more we can do in labeling.  
14 It's already very prominently labeled. The  
15 same with seizures.

16 CHAIRPERSON RAPPLEY: I'd like to  
17 allow Dr. Rosenthal, Dr. Cnaan and Ms. Celento  
18 to speak. Dr. Rosenthal.

19 DR. ROSENTHAL: Thank you.

20 I actually am just reflecting on  
21 the very high incidence of hyperprolactinemia  
22 in the pediatric population. I'm sitting here

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 wondering what is the effect of that over the  
2 years in which these medications are going to  
3 be used.

4 I think the label effectively calls  
5 out that high occurrence, but I think my  
6 question may relate somewhat to Dr. Kocis'  
7 question, and that is if these medications are  
8 used to a significant degree in the pediatric  
9 population, and there is information regarding  
10 the effects of the medication on the neural  
11 endocrine access. Is it reasonable to ask the  
12 question of what is the long-term effect on  
13 growth and development in these areas.

14 DR. LAUGHREN: That's always a good  
15 question to ask. The difficulty, of course,  
16 is in trying to figure out how you're going to  
17 get an answer to that question. How are you  
18 going to mount a trial that allows you to  
19 follow a cohort for the years and years that  
20 you would need to to gather that information,  
21 especially if you wanted to have some kind of  
22 a control? It's a challenge.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. ROSENTHAL: So I guess I'm not  
2 asking the agency to design the study, but I'm  
3 wondering whether there aren't some mechanisms  
4 even through the labeling process where  
5 particular attention can be drawn to this  
6 point, which might then stimulate research in  
7 this area.

8 You know, the we don't think of the  
9 label as being used in this way, but I'm  
10 thinking outside the box, and maybe if  
11 particular attention is drawn to the very high  
12 occurrence of hyperprolactinemia in the label,  
13 that will raise enough eyebrows that the  
14 studies will get done.

15 CHAIRPERSON RAPPLEY: Dr. Cnaan.

16 DR. CNAAN: In the interest of  
17 time, my question mostly mimics Dr.  
18 Notterman's question. I am very concerned  
19 when I look at the second most prescribed  
20 indication being ADHD, as was pointed out in  
21 Slide No. 5, and the cumulative effect of  
22 everything that everybody has said here. It

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is not about the labeling, but if there is  
2 anything that the agency can do to decrease,  
3 at least, off label use for more mild  
4 indication, I think I would greatly appreciate  
5 it.

6 CHAIRPERSON RAPPLEY: Ms. Celento.

7 MS. CELENTO: I second Dr. Cnaan's  
8 comments, and really the comments of everyone  
9 else. And I will say that, you know, maybe  
10 it's the Google generation and people stopped  
11 reading at page one. I don't feel that the  
12 metabolic indications or the metabolic effects  
13 are highlighted in the label, and I realize  
14 there's a standard format for the label, but I  
15 don't think those concerns are really broadly  
16 raised here for the parent of a pediatric  
17 patient.

18 And, again, some of these drugs are  
19 being -- this drug is being used maybe for  
20 indications that are off label, and there  
21 might be other options.

22 DR. LAUGHREN: Yes, with regard to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the metabolic issue, I can say that there's a  
2 review ongoing within the agency right now  
3 looking extensively at the metabolic effects  
4 for all of the atypicals. We've pretty much  
5 completed our review for the other drug that  
6 you're going to talk about here this morning,  
7 Zyprexa, and the labeling for that drug, I  
8 think, better reflects the metabolic risks.

9 You know, we expect over the next  
10 couple of years to improve the highlighting of  
11 the metabolic profile for this drug and the  
12 other atypicals, but that review is ongoing.

13 CHAIRPERSON RAPPLEY: I'd like to  
14 make an observation that of the 31 deaths that  
15 were described here by my reckoning, 11 of  
16 those were associated with off label use.  
17 Eleven of those had no diagnosis clearly  
18 associated with use, at least in the  
19 information available, and six were associated  
20 with on label use.

21 It's also an observation, and I  
22 know there's not a really rigorous -- there's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 no evidence to be gleaned, but just a signal  
2 perhaps. Nine of these deaths were associated  
3 with SSRI concomitant use, and 12, including  
4 that nine, were associated with  
5 antidepressants.

6 So I wonder if there isn't  
7 something that we should be looking at there.

8 I do think we have an avenue  
9 perhaps around our shared concern about off  
10 label use and the rapid increase in use. You  
11 described to us a ten percent increase in use  
12 for children zero to 17 within the last year.

13 What was presented to the Best  
14 Pharmaceuticals Committee -- am I saying that  
15 right? What's the name of that group that we  
16 did in June? No, no, the Best Pharmaceuticals  
17 Act for Children -- the Best Pharmaceuticals  
18 Children's Act. That committee met in June  
19 and risperidone was one of their items of  
20 concern, was one of their medications that  
21 they asked to be reviewed, and I was assigned  
22 to review that as a participant in that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 committee.

2           There was information presented  
3 there that based on data in seven states in  
4 both Medicaid utilization and commercial  
5 insurance utilization, that risperidone, in  
6 particular, was used by more than 16 or had a  
7 prevalence of more than 16 among Medicaid  
8 youth and a prevalence of approximately four  
9 among those in commercial insurance.

10           Now, that data comes from 2001 and  
11 2004. So we all have a sense that this  
12 increase that you describe over the last year  
13 has actually been cumulative since 2000, those  
14 of us in practice.

15           So I think we share a concern about  
16 off label use and a very rapid increase in use  
17 of this medication. I say this with the  
18 caveat that I think it's a very effective  
19 medication, and it is a very powerful  
20 medication. I use the word powerful because  
21 it has brought an improved quality of life to  
22 many, many children who could not experience

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that previously.

2 But because of that, it lends  
3 itself to off label use, and I think that  
4 perhaps we've not in the past viewed the label  
5 or the agency as a tool to influence practice,  
6 but we do have a request from the Best  
7 Pharmaceuticals for Children's Act to  
8 recommend --

9 DR. MURPHY: This is an NIH  
10 committee.

11 CHAIRPERSON RAPPLEY: Yes.

12 DR. MURPHY: This is the NIH  
13 committee, just so everybody is on the same  
14 page as Marsha, that looks at the off -- well,  
15 actually they're not just looking at --

16 CHAIRPERSON RAPPLEY: They're  
17 asking what should be future research.

18 DR. MURPHY: Not looking just off  
19 patent, right.

20 CHAIRPERSON RAPPLEY: Where should  
21 research for children and pharmaceuticals  
22 focus?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MURPHY: Right.

2 CHAIRPERSON RAPPLEY: And I think  
3 we could take the concern of this Committee to  
4 them. We could convey to them that we have a  
5 concern about off label use; that we have a  
6 concern about long-term effects; and that we  
7 have a concern about extrapyramidal effects in  
8 this very widely used and increasingly used  
9 medication.

10 And that could then be added to the  
11 many people who spoke about the importance of  
12 studying this particular medication and this  
13 particular class of medications in children.

14 DR. MURPHY: And I think in that  
15 situation you might want to articulate at the  
16 end here what are the groups that you think,  
17 because I've heard a number, you know, of the  
18 proactinemia, the endocrine effects, the, you  
19 know, long-term effects, maybe the differences  
20 in the metabolic effects going through  
21 puberty.

22 I mean, those are some of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 things that I've heard you say, and, Tom, I  
2 think what they're saying is they recognize  
3 the agency doesn't really have a mechanism to  
4 get those things done unless, you know, this  
5 probably came in with a supplement for  
6 something that would somehow avail itself to  
7 that, but otherwise they're trying to search  
8 for other ways to get this done.

9 I think though the one other thing  
10 that we need to make sure, and people have  
11 been careful about this, is that your concern  
12 -- and we've seen this before with other  
13 products -- is that the large off label use in  
14 a population that has not been documented to  
15 receive any benefit from this product is the  
16 concern fundamentally I think I'm hearing  
17 expressed.

18 And I don't know if there's a way.

19 Let me just put it this way. We would not go  
20 and put in a label, Don't use this for ADHD.  
21 I mean, we can't start doing that. It's not  
22 what we would do.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           If there were some way of  
2 enhancing, you know, the do not use any other  
3 way -- I can't think of, Tom -- then you  
4 already put in here. You've said if you're  
5 going to use it long term, you really need to  
6 reassess it and they'll fix the difference  
7 that was brought up for that, but don't use  
8 it.

9           I guess the question I'm hearing is  
10 is there a way to say if you're using it for  
11 anything other than the indications, you need  
12 to somehow reassess what you're doing. You  
13 know, I don't know if --

14           CHAIRPERSON RAPPLEY: Can I suggest  
15 a sentence and then you tell me if it would be  
16 reasonable or not? You know, I'm not asking  
17 the agency to step outside its bounds.

18           But would it be reasonable to say  
19 caution should be taken and careful  
20 consideration of risk of known side effects  
21 with perceived benefit in any off label use?  
22 Something like that on that first page where

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 it's --

2 DR. MURPHY: Well, I'm sure I can  
3 tell you right now --

4 CHAIRPERSON RAPPLEY: That won't  
5 work?

6 DR. MURPHY: -- the lawyers would  
7 not let us do that, and they always get upset  
8 when we physicians start to practice law.  
9 But, I mean, there's no way they would allow  
10 us to put something about off label use.

11 CHAIRPERSON RAPPLEY: Well, I guess  
12 we do have other ways that we can bring to  
13 light concerns about off label use of any  
14 medication and the kind of increasing  
15 prevalence that we see with this one.

16 We do have other people who would  
17 like to comment on this. Are these new  
18 comments or are they reinforcing?

19 DR. DURE: Well, I was asked for  
20 any suggestions, and that was a while ago, but  
21 I mean, under the use in special populations,  
22 the only movement disorder you mentioned is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 tardive dyskinesia, which almost never gets  
2 described, yet 20 percent of children in the  
3 pediatric studies have some combination of a  
4 movement disorder, dystonia, akathisia, et  
5 cetera.

6 I mean, I would echo that that's  
7 inadequate because they can be serious side  
8 effects, and I would also take issue. I mean,  
9 again, I've heard this, that the FDA does not  
10 regulate the practice of medicine, and I'm not  
11 suggesting a black box warning, but that is  
12 what is done.

13 And so I think this Committee is a  
14 little frustrated because we are trying to  
15 figure out a way that we can accommodate this  
16 concern of ours, and it's a well founded  
17 concern that we have.

18 CHAIRPERSON RAPPLEY: We do need to  
19 take a vote on this question. Can you put the  
20 question back up on the screen?

21 DR. MURPHY: And, Marsha, at the  
22 end would you summarize the recommendations of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the Committee because that's the thing we're  
2 supposed to get from this Committee.

3 CHAIRPERSON RAPPLEY: Yes, I will  
4 try to do so, and you all can monitor that.

5 Dr. Notterman is very much wanting  
6 to make another comment. So one last comment.

7 DR. NOTTERMAN: I just wanted to  
8 ask a process question. It seems to me that  
9 part of the concern is that what actually is  
10 subsumed under or within the penumbrae of  
11 attentional deficit disorder and other  
12 emotional diseases of childhood and all  
13 others, what's subsumed under that makes many  
14 of us uncomfortable. It may be that there's a  
15 large nucleus of labeled indications or at  
16 least serious illness that's subsumed there,  
17 and that would at least make me more  
18 comfortable in evaluating the serious nature  
19 of these side effects, particularly the  
20 extrapyramidal reactions and metabolic burden  
21 and perhaps the cardiac toxicity.

22 So is it possible for the agency to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 learn more about the actual prescribing  
2 practices over the next year or so and then  
3 report back to us and other committees?

4 CHAIRPERSON RAPPLEY: So you would  
5 be considering followup information would be  
6 important to the Committee.

7 DR. NOTTERMAN: On the actual  
8 indications with more precision perhaps in a  
9 prospective way.

10 DR. LAUGHREN: We can go back to  
11 our colleagues in Office of Surveillance and  
12 Epidemiology, the people who collect data on  
13 use, and see if they can get more precise  
14 about the uses and the numbers and so forth.

15 DR. MURPHY: I think that's  
16 actually a very helpful way to try to move  
17 forward, is to better understand that  
18 population, and you heard yesterday about the  
19 new databases. Some of them they really have  
20 not delved into to understand their  
21 functionality as well, and so we can give them  
22 an opportunity, as they like to say here, to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 maybe try out some of these new systems and  
2 databases.

3 CHAIRPERSON RAPPLEY: So the  
4 Committee then needs to vote on the question  
5 that one year post exclusivity was completed,  
6 and the safety review did not reveal any new  
7 safety concerns; that the FDA will continue  
8 its standard ongoing safety monitoring for  
9 oral risperidone.

10 So we need to vote on that  
11 question, and then I will summarize  
12 recommendations from the Committee and you can  
13 edit my summary.

14 So the vote will be the FDA will  
15 continue its standard ongoing safety  
16 monitoring for oral risperidone. How many on  
17 the Committee support that?

18 (No response.)

19 CHAIRPERSON RAPPLEY: So I am not  
20 seeing any hands raised.

21 Yes.

22 MS. CELENTO: I think the challenge

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is that, you know, there are some of us that  
2 are thinking, and more, and so how do you  
3 answer yes to this question?

4 CHAIRPERSON RAPPLEY: So would you  
5 like me to summarize our recommendations first  
6 before we vote? Okay.

7 So a summary then of the  
8 recommendations that have arisen from our  
9 discussion today is that, one, the Committee  
10 would like followup information regarding  
11 actual use in light of concern for extensive  
12 and rapidly increasing off label use of  
13 risperidone.

14 Number two, that we would express  
15 concern and like to see further information  
16 and further encouragement of investigation of  
17 long-term effects of this medication,  
18 including the metabolic syndrome, the other  
19 endocrine effects, in particular,  
20 hyperprolactinemia, effects on growth and  
21 sexual maturation;

22 That we would also like to see

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 encouragement of further investigation and  
2 whatever followup information can be gleaned  
3 over the next period of time about  
4 extrapyramidal side effects.

5 Additions to that summary?

6 DR. MURPHY: I just want to make  
7 sure that when you said the followup for the  
8 actual use, you want more than a -- I think we  
9 need a little more specificity on that because  
10 I want to make sure that it is addressing the  
11 issue that Dr. Notterman is definite the ADHD  
12 population, having more information about that  
13 population.

14 CHAIRPERSON RAPPLEY: So we would  
15 like more information about how the medication  
16 is actually used and for what indications it  
17 is prescribed in as great detail or  
18 specificity as you're able to glean from your  
19 data sets.

20 DR. FARRAR: I would like to add  
21 that, you know, we're going to have this same  
22 discussion in just a couple of minutes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   CHAIRPERSON RAPPLEY: Well, that's  
2 correct.

3                   DR. FARRAR: And we'll have it  
4 probably every time, and there's a bunch of  
5 these drugs, and they're starting to come out.

6                   Is there a mechanism to do a class of drugs  
7 study where you would look at this whole class  
8 of drugs with these questions in mind?

9                   Because we're going to be asking  
10 this question over and over again. Movement  
11 disorders, metabolic diseases have all been  
12 identified with, I think, all of these drugs.

13                   We're seeing it a lot with risperidone now  
14 just because it was the first to market and we  
15 have the most data on it, but as time goes on  
16 you're going to see it over and over again  
17 with a lot of other drugs, and I don't know if  
18 there's a mechanism for doing that or if that  
19 needs to be considered as part of the  
20 recommendation.

21                   CHAIRPERSON RAPPLEY: So correct me  
22 if I'm wrong, but I think that would be a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 recommendation that could go to the Best  
2 Pharmaceuticals for Children's Act Committee  
3 at NIH to look at investigating a class of  
4 medications as a priority for the nation.

5 But for us at the FDA, we have to  
6 go product by product; is that correct?

7 DR. MURPHY: Well, you know, I  
8 think that's an efficient way to approach it  
9 because you do know you're right, Marsha, that  
10 we do have to go product by product. But when  
11 you do that, you can say we're concerned about  
12 the class, and that Lisa and Dr. Rodriguez who  
13 works with the Committee also will make sure  
14 that we bring back this as an issue to that  
15 group, the NIH group, yes.

16 CHAIRPERSON RAPPLEY: Okay. So  
17 then I will ask Dr. Pena to read the summary  
18 that I just gave and so that we can think  
19 about it again before we vote.

20 DR. PENA: Okay. So PAC would like  
21 followup on extensive off label use. It would  
22 like further information on long-term effects

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 for this medication on metabolic syndrome  
2 growth, sexual maturation; would like a  
3 followup report on extrapyramidal side  
4 effects; would like more information on its  
5 use in prescribing information; and recommends  
6 potentially a class of medications review at a  
7 followup meeting.

8 CHAIRPERSON RAPPLEY: And I would  
9 add specifically hypoprolactinemia under the  
10 area where you say sexual maturation and  
11 growth.

12 Yes.

13 DR. KOCIS: One other thing.  
14 Yesterday we learned about some of the new  
15 databases that allow for looking not only at  
16 single drug use but combination drug use. I  
17 don't know if those databases are up and  
18 running in such a fashion that we can also  
19 glean some look at concomitant multiples.  
20 You've heard SSRIs, antidepressives, even some  
21 of the hyperglycemic agents and stuff.

22 But I think that would also be an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 interesting question.

2 CHAIRPERSON RAPPLEY: Dr. Pena just  
3 added that. So thank you.

4 So given that that will be  
5 recommendations of this Committee to the  
6 agency, we now also need to vote on the  
7 question of FDA. So the statement is FDA will  
8 continue its standard ongoing safety  
9 monitoring for oral risperidone.

10 I'm sorry?

11 And the additional items that we  
12 described in that summary, yes. Discussion?

13 DR. NOTTERMAN: I'm not sure.  
14 Perhaps you can enlighten me. The continuing  
15 of standard ongoing safety and taking under  
16 consideration these extensive recommendations  
17 are compatible statements

18 DR. MURPHY: I guess I'm sitting  
19 here thinking I think you said no. I think  
20 you've said we think there are additional  
21 pieces of information that we would like to  
22 have, and what we have to --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON RAPPLEY: Excuse me.  
2 How about in addition to standard ongoing  
3 safety monitoring?

4 DR. GOLDSTEIN: Or you could just  
5 say you expand its standard ongoing safety  
6 monitoring for oral risperidone and then to  
7 include the following.

8 DR. MURPHY: Well, what this is  
9 saying is that there's really nothing more  
10 that you want. Okay. That's what this is  
11 saying.

12 CHAIRPERSON RAPPLEY: And we don't  
13 agree with that. That's correct.

14 DR. MURPHY: I know you're not  
15 agreeing with that statement.

16 CHAIRPERSON RAPPLEY: Yes.

17 DR. MURPHY: Okay. You're saying  
18 that we're not finished with looking at the  
19 adverse effects of these products,  
20 particularly this product, in the pediatric  
21 population. We have additional concerns. We  
22 understand the agency can't require some of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 these studies. You're expressing to the  
2 division at least what your concerns are; that  
3 we can look at, the agency can address  
4 bringing back to you, because that's what  
5 you're telling us -- you want us to come back  
6 to you -- with a look at what the co-morbidity  
7 populations are in the ADH, which is the large  
8 off label use population, and these other  
9 things.

10 And we'll have to sit down with  
11 these and figure out. We also know you want a  
12 followup report on the extrapyramidal type of  
13 effects. You want us to look at that more  
14 closely over time. We'll have to figure out  
15 how to do that in a way that's meaningful.  
16 Okay?

17 CHAIRPERSON RAPPLEY: Okay. So how  
18 about if I divide this then into two  
19 questions? We'll take a vote on this  
20 statement, and then the next will be our  
21 consensus about the recommendations we give to  
22 the Committee.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So the FDA will continue its  
2 standard ongoing safety monitoring for oral  
3 risperidone. All those in support of that,  
4 please raise their hand.

5           And all those who oppose that,  
6 please raise their hand.

7           DR. PENA: So just as a procedural  
8 point, just to get it on the record, we'll  
9 probably just go around and if you can say,  
10 you know, yes or no.

11           MS. CELENTO: Amy Celento, opposed.

12           DR. CNAAN: Avital Cnaan opposed.

13           DR. D'ANGIO: Carl D'Angio opposed.

14           DR. DURE: Leon Dure opposed.

15           DR. HUDSON:           Melissa Hudson  
16 opposed.

17           DR. KOCIS: Keith Kocis opposed.

18           DR. MOTIL: Kathleen Motil opposed.

19           DR. NOTTERMAN: Daniel Notterman  
20 opposed.

21           CHAIRPERSON RAPPLEY:           Marsha  
22 Rappley opposed.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 DR. ROSENTHAL: Geoff Rosenthal  
2 opposed.

3 DR. RAKOWSKY: Alex Rakowsky  
4 opposed.

5 DR. VINING: Elaine Vining opposed.

6 DR. PENA: And, Mark, you're  
7 voting, Mark.

8 DR. HUDAK: Mark Hudak opposed.

9 DR. MURPHY: And Lisa wanted me to  
10 point out that you're rejecting that this be  
11 all that we do.

12 CHAIRPERSON RAPPLEY: Correct.

13 DR. MURPHY: But clearly if we  
14 think it's --

15 CHAIRPERSON RAPPLEY: It's a  
16 minimum.

17 DR. MURPHY: -- appropriate to  
18 bring other information back to you because  
19 you heard yesterday about the agency always  
20 has a way of looking at all of these products,  
21 they're going to continue that.

22 CHAIRPERSON RAPPLEY: Yes, we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 continue the usual practice.

2 DR. MURPHY: Right.

3 CHAIRPERSON RAPPLEY: And now in  
4 addition to your usual practice, we recommend  
5 to you the statement that Carlos just read.

6 Yes, Keith.

7 DR. KOCIS: Can I just throw one  
8 other thing on top of that list at least  
9 potential for discussion? I'm not sure, at  
10 least in my mind, I'm not sure I need to wait  
11 another year or two to get additional  
12 information before we reconsider the current  
13 labeling. So I guess that would be the one  
14 question.

15 And then tied into that would also  
16 be what risk mitigation program, information  
17 one could consider. I could think of lots of  
18 things. Again, I don't use this drug. So I  
19 don't really want to say. I simply want to  
20 offer that up at this time as to whether  
21 strengthening the label, and I don't want to  
22 dismiss that it's completely inadequate. I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 think it just doesn't emphasize some of the  
2 concerns that I and the other people on this  
3 Committee apparently have.

4 And then to address secondarily  
5 some of those issues proactively is to  
6 consider risk mitigation either with  
7 information to the patient and the parent  
8 and/or other things that we've discussed  
9 yesterday that we could consider.

10 CHAIRPERSON RAPPLEY: So we could  
11 ask the agency to also come back to us with  
12 some ways that would be compatible with the  
13 agency's mission and meet that concern. Does  
14 that make sense, Keith?

15 Melissa?

16 DR. MURPHY: I don't think, Keith,  
17 as we learned yesterday, that it has to be  
18 new, that you're not recommending a risk  
19 around, right? That's not what you're  
20 recommending, or was it?

21 Because remember you heard  
22 yesterday it has to be a new adverse event and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 has to have all of those criteria. So I just  
2 want to make sure what you're saying here.

3 CHAIRPERSON RAPPLEY: You know, I  
4 think the Committee needs some guidance from  
5 the agency about how are ways that within the  
6 mission of the agency that these concerns can  
7 be addressed, and if the agency itself cannot  
8 address these concerns due to limitations on  
9 the agency, then we as a group need to think  
10 about other ways to other mechanisms that we  
11 could address this.

12 But we, I think, pretty strongly  
13 feel that to whatever extent it is compatible  
14 and within the limitations of the agency's  
15 ability to make statements we would like to do  
16 so in the strongest fashion allowable.

17 DR. MURPHY: Okay. Because he  
18 started talking about labeling. So are you  
19 talking about just labeling now? Because  
20 remember the ways of communicating are not  
21 just in the label. So that's why I'm asking  
22 for more clarity here.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. KOCIS: I don't want to be  
2 specific, but I also want to not say no to any  
3 of those things that you just posed to me. In  
4 fact, I want to consider all options at our  
5 disposal either through the FDA and through  
6 the specific avenues we have as an option now  
7 or in future when new indications are coming  
8 up for approval, and then likewise to consider  
9 options that extend beyond this Committee and  
10 our own circles.

11 DR. MURPHY: And the message of  
12 these, or the concern about the inappropriate  
13 use of this product in areas where it has not  
14 been studied.

15 DR. GOLDSTEIN: Not just  
16 inappropriate use, but the cumulative and  
17 long-term effects --

18 DR. MURPHY: Right, right.

19 DR. GOLDSTEIN: -- on patients who  
20 are on maintenance for the approved  
21 indications.

22 DR. MURPHY: Okay.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON RAPPLEY: Melissa.

2 DR. HUDSON: In that regard, I  
3 mean, I really think this label is pretty  
4 clear. These adverse events are listed in  
5 warnings and precautions, and within the  
6 sections and special populations and pediatric  
7 population it clearly states the long-term  
8 effects on growth and development, sexual  
9 maturation, bone density, you know, have not  
10 been established.

11 I'm not sure what else they can do  
12 at this point. We're asking for something  
13 beyond a population that they can really  
14 legitimately inform the label.

15 DR. MURPHY: I'm glad you said that  
16 because I actually was going to say this is  
17 really an enormous amount of safety  
18 information, very specific, large text areas  
19 for these in a label.

20 I mean, I think, I don't know if  
21 you guys have any other products that have --  
22 maybe you do -- as much safety information in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       them as these products do. So irrespective,  
2       it's a lot. You're right. So that's why  
3       we're struggling with, you know, exactly how  
4       the focus message of what you're concerned  
5       about because this is an enormous amount of  
6       safety information already.

7                   CHAIRPERSON       RAPPLEY:            Dr.  
8       Notterman.

9                   DR. NOTTERMAN: I would say that my  
10       principal concern, and I think some of my  
11       colleagues over the potential adverse effects  
12       has been amplified by an uneasiness that we  
13       don't understand the complexities or the scope  
14       of the unlabeled usage, and so my suggestion  
15       would be to defer any potential change or  
16       increment or escalation of notification and  
17       communication with practitioners until we've  
18       received the report that we just requested,  
19       with the understanding that it would be  
20       forthcoming in a reasonable amount of time.

21                   And at that point the Committee  
22       could discuss with FDA whether, based on what

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we've just learned, further action is  
2 necessary or recommended, I should say.

3 CHAIRPERSON RAPPLEY: And I would  
4 like to close with that statement this  
5 discussion. If there are further new comments  
6 to be brought forward?

7 DR. MURPHY: Okay. So at this  
8 point, I'm just going to repeat it, because  
9 we've got a number of recommendations from you  
10 which requires bringing back additional  
11 information to the Committee. In the meantime  
12 though, the Committee is concerned about a  
13 number of adverse effects, and particularly  
14 the large off label use in populations that  
15 aren't defined as the benefit.

16 You're willing to not pursue asking  
17 the agency to communicate in any other way  
18 until we get that additional information back  
19 to you, and then you will consider the data  
20 and decision about what need to be  
21 communicated. Is that fair?

22 Lisa, do you have any thoughts on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 that? Tom?

2 Okay. Thank you.

3 CHAIRPERSON RAPPLEY: Thank you.

4 DR. MURPHY: You can see why  
5 standards come to you sometimes.

6 CHAIRPERSON RAPPLEY: Right. Now,  
7 I would like to say that we could repeat, as  
8 Dr. Farrar pointed out, much of this  
9 discussion when we consider olanzapine. So if  
10 we could give the message now that we have  
11 these concerns for this class of medication  
12 and then not repeat ourselves around this  
13 particular medication so that our comments can  
14 be focused in on things that are pertinent to  
15 olanzapine and not general to the class, is  
16 that acceptable to the committee?

17 (Off-mic comments.)

18 CHAIRPERSON RAPPLEY: Okay. Thank  
19 you.

20 DR. COLLINS: Okay. Now, I'm  
21 pleased to be able to present to you the one-  
22 year post exclusivity adverse event review for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 olanzapine.

2 Oral Zyprexa, or olanzapine, is an  
3 atypical antipsychotic for which Eli Lilly is  
4 the drug sponsor. Original market approval  
5 occurred on September 30th, 1996, and  
6 pediatric exclusivity was granted on January  
7 10th, 2007.

8 Prior to the pediatric exclusivity  
9 studies, oral Zyprexa was indicated for acute  
10 and maintenance treatment of schizophrenia in  
11 adults and acute and maintenance treatment of  
12 mixed or manic episodes associated with  
13 Bipolar I Disorder in adults.

14 The next two slides provide  
15 information about the use of olanzapine in  
16 out-patient settings. Four million oral  
17 olanzapine prescriptions were dispensed for  
18 all age groups during the 12-month pre and  
19 post exclusivity period. 2.5 percent of these  
20 prescriptions were for adolescents 13 to 17  
21 years old, and 1.8 percent were for children  
22 zero to 12 years old.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           There was a five percent decrease  
2           in oral olanzapine prescriptions for all age  
3           groups between the 12-month pre and post  
4           exclusivity periods with an eight percent  
5           decrease for the pediatric population.

6           Psychiatry was the top prescribing  
7           specialty during the post exclusivity period.

8           All psychiatrist prescribed 52.6 percent of  
9           all oral olanzapine prescriptions, with child  
10          psychiatrists prescribing 4.9 percent of all  
11          prescriptions.     Pediatricians prescribe 0.7  
12          percent of all oral olanzapine prescriptions,  
13          and child neurologists prescribe 0.1 percent  
14          of all prescriptions.

15          The top diagnosis codes associated  
16          with oral olanzapine use were depressive  
17          disorder for patients 13 to 17 years old and  
18          anxiety states in early child psychoses for  
19          patients zero to 12 years old.

20          On November 30th, 2001, the FDA  
21          issued a written request for studies of oral  
22          olanzapine in the acute treatment of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 schizophrēnia and the acute treatment of mania  
2 in Bipolar I Disorder in adolescent patients  
3 13 to 17 years old. The resulting pediatric  
4 exclusivity studies included one  
5 pharmacokinetic study and two efficacy and  
6 safety studies that utilize flexible dosing  
7 ranging from 2.5 to 20 milligrams per day.

8 The pediatric exclusivity studies  
9 demonstrated a statistically significant  
10 effect of olanzapine for the proposed uses in  
11 adolescents. However, the Division of  
12 Psychiatry products concluded that additional  
13 safety information was needed to adequately  
14 describe the relevant risk information for  
15 adolescents in the labeling, specifically in  
16 the areas of weight gain, hyperglycemia and  
17 hyperlipidemia.

18 To date, olanzapine has not been  
19 approved for the studied uses in pediatric  
20 patients. However, safety data from the  
21 pediatric exclusivity studies have been  
22 incorporated into the drug labeling.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           Based on the results from the  
2 pediatric exclusivity studies, several  
3 modifications were made to the warning section  
4 of the currently distributed drug labeling.  
5 The weight gain section was modified to  
6 include a monotherapy in adolescent  
7 subsection. This subsection notes that, one,  
8 the average adolescent weight gain during a  
9 three-week median exposure was 4.6 kilograms  
10 for the olanzapine treated group versus  
11 negative 0.3 kilograms for the placebo treated  
12 group.

13           And, two, the percentage of  
14 adolescent patients gaining at least seven  
15 percent of their baseline body weight during a  
16 four-week median exposure was 40.6 percent for  
17 the olanzapine treated group versus 9.8  
18 percent for the placebo treated group.

19           The hyperglycemia section also was  
20 modified to include a monotherapy in  
21 adolescent subsection noting that the mean  
22 change in fasting glucose was 2.68 milligrams

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 per deciliter for the olanzapine treated group  
2 versus negative 2.59 milligrams per deciliter  
3 for the placebo treated group.

4 Lastly, the hyperlipidemia section  
5 was modified to include a monotherapy in  
6 adolescent subsection. This subsection notes  
7 that, one, the percentage of patients with  
8 fasting triglycerides that increase by greater  
9 than or equal to 50 milligrams per deciliter  
10 was 37 percent for the olanzapine treated  
11 group versus 15.2 percent for the placebo  
12 treated group.

13 Two, the percentage of patients  
14 with fasting total cholesterol that increased  
15 by greater than or equal to 40 milligrams per  
16 deciliter was 14.5 percent for the olanzapine  
17 treated group versus 4.5 percent for the  
18 placebo treated group.

19 And, three, the percentage of  
20 patients with fasting LDL cholesterol that  
21 increased from borderline to high was 48.3  
22 percent for the olanzapine treated group

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 versus zero percent for the placebo treated  
2 group.

3 Moving now from the exclusivity  
4 studies to post marketing reporting, this  
5 table describes the adverse event reports sine  
6 marketing approval.

7 For pediatric patients, there were  
8 949 adverse event reports which comprised 4.4  
9 percent of the total reports. Of these  
10 reports, there were 60 death reports with 41  
11 being U.S. cases

12 Of the 60 crude count pediatric  
13 death reports identified since marketing  
14 approval, 14 reports were duplicated and two  
15 were miscoded adult reports. Of the 44 unique  
16 pediatric cases, 12 cases involved drug  
17 exposure during pregnancy, and eight cases  
18 involved an indeterminate cause of death.  
19 The remaining 24 cases includes six suicide,  
20 five metabolic, four cardiac, five unusual use  
21 of olanzapine, and four other death cases.

22 After reviewing the 44 unique

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pediatric death cases, the safety reviewer did  
2 not identify any new safety concerns.

3           There are multiple sections of the  
4 drug labeling that are relevant to the  
5 pediatric death cases. The warning section of  
6 the drug labeling includes a subsection on  
7 hyperglycemia associated with diabetes  
8 mellitus, ketoacidosis and/or coma, and the  
9 precaution section includes a subsection on  
10 suicide.

11           The adverse reaction section of the  
12 drug labeling includes cardiac adverse events,  
13 such as bradycardia, atrial fibrillation, and  
14 heart arrest.

15           The next several slides provide  
16 more details for the 24 death cases, and you  
17 will note that unlabeled events have been  
18 underlines. Three of the six suicide cases  
19 involved adolescents who ingested unknown  
20 amounts of olanzapine and were not known to  
21 have an olanzapine prescription.

22           The other three cases involved

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 adolescents with depression, agitation and/or  
2 anxiety who committed suicide within two  
3 months of initiating olanzapine treatment or  
4 increasing the dose.

5 The five metabolic cases involved  
6 adolescents who experienced diabetic  
7 ketoacidosis and/or coma with known olanzapine  
8 doses ranging from five to 15 milligrams.

9 Three of the four cardiac cases  
10 involved males who experienced cardiac  
11 arrhythmia or rest while on olanzapine. In two  
12 of the cases, death occurred four to eight  
13 days after increasing the olanzapine dose to  
14 ten or 30 milligrams. The fourth cardiac case  
15 involved an 11 year old male who experienced  
16 myocardial infarction two and a half years  
17 after initiating olanzapine therapy.

18 For the five unusual use of  
19 olanzapine cases, the first case involved a  
20 two year old female who, according to the  
21 medical examiner, died possibly due to a drug  
22 interaction between olanzapine and atomoxetine

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 used to treat hyperactivity and possible  
2 bipolar disorder.

3 The second case involved a 15 year  
4 old male who drowned while on olanzapine and  
5 dextroamphetamine. These medications had been  
6 prescribed for the treatment of Asperger's  
7 Syndrome and Attention Deficit Hyperactivity  
8 Disorder.

9 Cases three, four and five involve  
10 children who experience fatal injuries  
11 inflicted by their parents when they were  
12 asphyxiated after being given olanzapine to  
13 sleep and morphine or hydromorphone or killed  
14 by other means.

15 As you will recall, there were four  
16 other death cases. The first case involved a  
17 14 year old male with a history of asthma who  
18 experienced an acute asthma attack while  
19 taking olanzapine.

20 The second case involved a 16 year  
21 old who experienced a possible drug  
22 interaction and hepatic steatosis and was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 found dead after initiating olanzapine  
2 treatment.

3 The third case involved a 15 year  
4 old male who died from necrotizing  
5 pancreatitis within three months of initiating  
6 olanzapine therapy. Of note, the patient was  
7 also on carbamazepine, paroxetine, and  
8 valproate, and each of these medications has a  
9 labeled association for pancreatitis.

10 And the last case involved a 12  
11 year old female who died from unknown causes  
12 within one month of discontinuing olanzapine  
13 and initiating quetiapine therapy. She was  
14 diagnosed with diabetes and ketoacidosis three  
15 months prior to death and had multiple other  
16 diagnoses.

17 Going back to the table describing  
18 adverse event reports since marketing approval  
19 for pediatric patients, there were 631 serious  
20 adverse event reports with 444 being U.S.  
21 reports. You will note again that the  
22 definition of a serious adverse event that was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 used when identifying these cases is noted in  
2 the footnote.

3 Looking at the post exclusivity  
4 period for pediatric patients, there were 69  
5 serious adverse event reports with 42 of these  
6 being U.S. cases. Of the 69 crude count  
7 pediatric serious adverse event reports  
8 identified during the post exclusivity period,  
9 three of these reports were duplicates. Of  
10 the 66 unique reports, seven were excluded  
11 because they were miscoded for age or the  
12 adverse event occurred prior to the use of  
13 olanzapine.

14 Of the 59 unique pediatric cases,  
15 11 were excluded because they related to drug  
16 exposure during pregnancy. For the 48  
17 remaining cases, the safety reviewer did not  
18 identify any new safety concerns.

19 Once again, there are multiple  
20 sections of the drug labeling that are  
21 relevant to the serious adverse event cases.  
22 The warnings and precautions section of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 drug labeling include subsections on  
2 hyperglycemia, weight gain, hyperlipidemia,  
3 and Neuroleptic Malignant Syndrome.

4 The precaution section of the drug  
5 labeling includes a subsection on seizures and  
6 the adverse reaction section mentioned  
7 leukopenia. Of the remaining 48 pediatric  
8 serious adverse event cases during the post  
9 exclusivity period, there were 27 metabolic  
10 effect cases, including cases with increased  
11 weight, hyperglycemia, diabetes mellitus,  
12 diabetic ketoacidosis, diabetic coma, elevated  
13 triglycerides and/or metabolic syndrome.

14 Four nervous system cases,  
15 including three seizure cases and one  
16 Neuroleptic Malignant Syndrome case, three  
17 blood dyscrasia cases, including two cases of  
18 leukopenia and one hemolytic anemia case, and  
19 14 other cases that did not fall into any of  
20 these categories.

21 You will note that out of the cases  
22 described on this slide, hemolytic anemia is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the only one that is not included in the drug  
2 labeling.

3 This chart describes the various  
4 combinations of metabolic serious adverse  
5 events reported in pediatric patients. You  
6 will note that there are nine groups of  
7 reports for diabetes alone or diabetes  
8 combined with another metabolic adverse event.

9 For the 14 other serious adverse  
10 event cases, there were eight cases with  
11 labeled events, including three pancreatitis  
12 cases and five single case reports. Of note,  
13 one of the three pancreatitis cases was  
14 confounded by concomitant use of quetiapine  
15 and risperidone, both of which are labeled for  
16 an association with pancreatitis.

17 For the six cases with unlabeled  
18 events, all of the cases involved a single  
19 case report. Once again, the safety reviewer  
20 did not identify any new safety concerns.

21 This completes the one-year post  
22 exclusivity adverse event reporting. At

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 present olanzapine is not approved for use in  
2 any patient under 18 years of age, and safety  
3 data from the pediatric exclusivity trials  
4 have been incorporated into the drug labeling.

5 In view of the potential metabolic  
6 effects with the use of olanzapine, especially  
7 in pediatric patients, FDA will continue to  
8 evaluate the safety of olanzapine and will  
9 decide if any additional risk management  
10 regulatory action is needed.

11 Does the Advisory Committee concur  
12 is the question for the group.

13 And in closing, again, I'd like to  
14 acknowledge the assistance of numerous folks  
15 throughout the FDA in the Office of  
16 Surveillance and Epidemiology, the Division of  
17 Psychiatry Products, the Office of Clinical  
18 Pharmacology, the Office of Pediatric  
19 Therapeutics, and the Pediatric and Maternal  
20 Health Staff.

21 Thank you.

22 CHAIRPERSON RAPPLEY: Discussion?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Dr. Goldstein.

2 DR. GOLDSTEIN: Given that this  
3 same issue seems to occur in this drug as the  
4 other one in terms of metabolic syndrome, and  
5 I think your statement before was that there  
6 wasn't a differentiation between Type 1 or  
7 Type 2 diabetes, but you had thought that most  
8 of the cases were Type 1. Is there a  
9 mechanism and is it possible to differentiate  
10 in these adverse event reports whether or not  
11 this is onset of Type 1 or a new onset of Type  
12 2?

13 I think that information would be  
14 helpful, particularly given the epidemic we're  
15 seeing of Type 2 in children, in elucidating  
16 what the safety effects are of these drugs.

17 DR. LAUGHREN: Someone from OC  
18 would have to comment on that. I mean, I  
19 think we are limited by what we have in those  
20 reports.

21 DR. McMAHON: I would like to ask  
22 Dr. Diak who did the review to comment.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 DR. DIAK: Hi. I'm Ida-Lina Diak.

2 The reports unfortunately, due to  
3 the AERS reports, don't have enough  
4 information. So I have specified actually in  
5 my review, which I believe you have copies of,  
6 not all of the reports did state whether it  
7 was Type 1 or Type 2 and whether it was new  
8 onset or a preexisting condition.

9 CHAIRPERSON RAPPLEY: But given the  
10 information we received yesterday about the  
11 new data sets that are now available and right  
12 now you're just learning how to use those and  
13 learning what information actually is  
14 available there, it might be possible to have  
15 more specificity than about diagnoses, not  
16 from the spontaneous reporting system, but  
17 through some of these surveillance data sets.

18 DR. McMAHON: Yes, I think if we  
19 were to get more specificity about Type 1  
20 versus Type 2 time to onset data when it  
21 occurred versus when a person started using  
22 the drug, all of that information, it would be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 very helpful. I think it's pretty safe to say  
2 that the AERS database is not going to  
3 reliably give that.

4 So We will have to turn to other  
5 sources for that.

6 CHAIRPERSON RAPPLEY: Dr. Kocis.

7 DR. KOCIS: I'm not going to repeat  
8 anything I already said. Two comments on  
9 this. One, they didn't use the structured  
10 label as we had seen previously and the like,  
11 and when you look at the label here -- and,  
12 again, I find it less than ideal that under  
13 pediatric use safety and effectiveness in  
14 pediatric patients have not been established,  
15 although when you read through and you go  
16 through the different subsections integrated  
17 into the adult and the specific side effects  
18 that we're looking at, there is included that  
19 adolescent data.

20 So I think moving this towards the  
21 structured form, it would likely address that  
22 concern about it being varied because there is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 information and we should use that when we  
2 make decisions about using this drug.

3 The second thing, I didn't get to  
4 make this comment to Dianne, and it's similar  
5 here in the sense that, you know, throughout  
6 the years we are asked to look at these drugs  
7 one year after pediatric exclusivity, and when  
8 already many of the decisions have been made  
9 about risk mitigation and labeling and things,  
10 and then we're also told that, well, we can't  
11 really do that now or, you know, that  
12 opportunity was lost and that was a year ago  
13 in the sense that we weren't involved in the  
14 initial approval for the indications and  
15 stuff.

16 So it just becomes unsettling to us  
17 because I think had we seen this data or at  
18 least in some circumstances we might have been  
19 able to impact at that time rather than now, a  
20 year later, saying now that we review this  
21 data, we're looking at this and what can we do  
22 about that, and I don't think we should stop

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1     trying to do what we think is in the best  
2     interest of the children and the utilization  
3     of the drug in the kids.

4  
5                   CHAIRPERSON RAPPLEY:   Well, again,  
6     I know it's frustrating for you all because  
7     you're not involved in the approval process  
8     where they are limited to the studies.   Okay?

9     And as you know, this one -- you saw the  
10    letter -- didn't get the approval.   So I don't  
11    know if the division wants to make anymore  
12    comments about that, but the point as you  
13    heard yesterday of why we're doing post  
14    marketing follow-up is because, you know,  
15    normally after something gets out in the  
16    market or you see that there's a new  
17    indication for pediatrics, the potential for  
18    it being used more and having more problems.  
19    That doesn't always work because there's so  
20    much off label use, and we understand that.

21                   But the concept that we want to be  
22    able to have a post-marketing assessment, so

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that's why you end up getting this data that  
2 you then have to try and apply. It's not  
3 really a retroactive fit. It's just, okay,  
4 this is what we knew at the time of approval  
5 or in this situation non-approval. Here is  
6 what we see in the post-marketing.

7 Now, is there anything that that  
8 post-marketing informs us that we should be  
9 doing differently than what was already in the  
10 label? That's really what the question is.

11 DR. KOCIS: And there's two things,  
12 and certainly as we talked about, we learned  
13 new things in the first year, and that's  
14 certainly what we're most interested in, but  
15 yet -- and again, I don't want to use a  
16 specific to this drug or this morning, but  
17 over the meetings of the years I've been here,  
18 there has been information in the studies that  
19 were done that at least in my mind some of  
20 those drugs and some of that information we  
21 knew at the time of approval, and we didn't  
22 learn anything more during the year. We just

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reemphasized -- continued to see what we knew  
2 at that time, and again, it's just unsettling  
3 at this point to then say, well, we're  
4 handcuffed in what we can do because yadda-  
5 yadda-yadda.

6 CHAIRPERSON RAPPLEY: Well, you're  
7 not handcuffed. I mean, you can make a  
8 recommendation that you think that the  
9 information was there, and it still looks like  
10 that information is there, and we still need  
11 to do additional emphasis or focus on the  
12 pediatric part of it.

13 Now, in this one, I think they  
14 really made a point of going in and putting  
15 the pediatric safety into the label. So it is  
16 there.

17 Your point about -- and I think  
18 what he's saying, Tom -- is that having  
19 something more in the pediatric subsection  
20 because when it's not approved, the approach  
21 now is to try to put that information off, and  
22 they refer them back to the clinical trials

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 part so that it would be helpful to have  
2 something there. Okay?

3 And then, Lisa, I want you to add  
4 to your statement. Again, now all of these  
5 products before they have an action are coming  
6 to an internal review. The pediatric group  
7 does have an opportunity to make  
8 recommendations before that action is taken.  
9 The pediatric group is not always involved in  
10 a line-by-line discussion with the labeling.  
11 They are frequently, but I think you can speak  
12 to that.

13 But, I mean, it's not always at the  
14 same level is what I'm trying to say when it  
15 comes to the PeRC as it would be in a lengthy  
16 negotiating meeting.

17 DR. MATHIS: You are right, and I  
18 actually think that this labeling change  
19 happened prior to the PeRC and prior to a lot  
20 of our thoughts about consolidating  
21 information in that section of labeling.

22 But you absolutely are correct, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I think that that's a really helpful  
2 suggestion and something that we'll address in  
3 the future PerCs as well.

4 CHAIRPERSON RAPPLEY: Dr. Hudak.

5 DR. MURPHY: So we can fix that.

6 DR. HUDAK: Yes. I guess I'd just  
7 like to ask a general informational question,  
8 and from what I understand you had a meeting  
9 yesterday that might have spoken to this and  
10 you can cut me off at any point if that's the  
11 case.

12 But with respect to all of these  
13 reports and so forth, especially when we  
14 consider these drugs that are similar classes  
15 or similar indications, is there any way you  
16 can glean from the database information that  
17 would allow you to normalize some of these  
18 complications.

19 In other words, I have no idea  
20 looking at these two drugs now whether, you  
21 know, these complications which I think are  
22 very significant complications from a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 metabolic standpoint are more or less frequent  
2 in a particular drug. I mean, I don't know if  
3 you have information about the number of  
4 prescriptions, whether you can break it down  
5 by duration of therapy because some of these  
6 things, I think the side effects are  
7 idiopathic and acute and some may be sort of  
8 more likely to occur with a cumulative drug  
9 exposure, but I find the numbers fairly  
10 unsatisfying in terms of being able to really  
11 get my hands around the meat of the risk  
12 issue.

13 If your interest is in getting  
14 comparative safety information across drugs in  
15 the class, which would be something that we,  
16 of course, like to have, I think you'd almost  
17 have to have head-to-head comparisons in a  
18 controlled setting, for example, to look at  
19 metabolic risk.

20 But, again, it always comes down to  
21 who is going to take on a study like that. I  
22 mean, it would have to be an agency like NIH.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 I don't think you're going to learn that very  
2 well from post-marketing reports.

3 DR. HUDAK: Well, I guess I can't  
4 say that I wouldn't learn anything without  
5 looking at what the information might be. If  
6 you have, you know, a drug that has ten times  
7 higher complication of metabolic issues than  
8 another drug, I mean, that's pretty powerful.

9 DR. LAUGHREN: You know, it may be  
10 that there are some other databases and maybe  
11 some of these newer databases that are  
12 becoming available to FDA - Sentinel and so  
13 forth - could give us access to large cohorts  
14 that might allow you to get at some of those  
15 kinds of things.

16 MS. McMAHON: Ann McMahon, OSE.

17 I just would agree that it's going  
18 to be very difficult using passive  
19 surveillance systems to do any kind of  
20 comparison that would be very believable as  
21 far as rates of adverse events because there  
22 are so many different issues that go into

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 whether someone happens to report a particular  
2 adverse event for a particular drug in a  
3 particular population. It's going to be very  
4 hard to do anything with the passive surround  
5 system in that regard, but I would also say  
6 that it probably would need to be a head-to-  
7 head type comparison. I would agree with that  
8 because even in a system, a large database, if  
9 it's not a randomized situation, you still  
10 could have all kinds of problems with  
11 interpreting the data. That would be my  
12 guess.

13 Certainly as far as this passive  
14 surround system, it's going to be really hard  
15 to make direct comparisons.

16 CHAIRPERSON RAPPLEY: And that  
17 would be something we could include in a  
18 recommendation to the BPCA, to let that be  
19 part of the thing that they set out as  
20 important to look at for NIH funding.

21 Dr. Rakowsky. Then Dr. Goldstein.

22 DR. GOLDSTEIN: This is to Dr.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Murphy and Dr. McMahon, and if this was  
2 covered yesterday, again, please stop me.

3 Given that for the approval  
4 process, the pediatric age groups between zero  
5 and 17 are broken up into four or five  
6 different subgroups. I can't remember off the  
7 top of my head what they are.

8 Would it make sense when you're  
9 reporting safety data to follow those same age  
10 group demarcations?

11 As this data was being presented, I  
12 commented to Dr. Farrar, you know, it's  
13 unlikely a newborn is going to be given this  
14 particular drug, and of course, the next two  
15 slides had a one year old and a two year old.

16 (Laughter.)

17 DR. GOLDSTEIN: But that data in  
18 and of itself, if you can see to my mind this  
19 may be a mechanism to see potentially some age  
20 related, at least some safety issues. If  
21 there's only an n of one or two in the two  
22 year old population with this drug and both of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       them had significant safety issues, that may  
2       be some relatively low hanging fruit for  
3       safety information that could be gleaned from  
4       this type of subcategorization.

5                   CHAIRPERSON RAPPLEY: Dr. Dure.

6                   DR. DURE: Yes, I just had a  
7       question for Dr. Collins. Those are two nice  
8       presentations. The second though is a drug  
9       that is not approved in childhood, and so I'm  
10      just curious because your bullet here, "decide  
11      if any additional risk management regulatory  
12      action is needed."

13                   What are you thinking about?

14                   DR. COLLINS: And that I'd have to  
15      defer to the division.

16                   DR. LAUGHREN: Well, obviously,  
17      we've already included even though the drug is  
18      not approved in pediatric use yet, we have  
19      included a lot of safety information, in  
20      particular the metabolic information in the  
21      warning section.

22                   So I guess the question is beyond

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that, is there anything that you -- I mean, I  
2 just want to point out as context that we  
3 obviously do include information and labeling  
4 for off label use if we think it's important  
5 for prescribers to know about that. That's  
6 what we've done here.

7 So is there anything else that I  
8 guess you can recommend that we might do to  
9 highlight this?

10 CHAIRPERSON RAPPLEY: Dr. Cnaan.

11 DR. CNAAN: Yes. I wanted to go  
12 back to the concept of rates and usage because  
13 it struck me, too, when I was looking at  
14 these. We cannot calculate rates. We don't  
15 have denominators. There's no question about  
16 it, and it is passive surveillance.

17 What has been brought to us  
18 typically and at least helped me as I've  
19 looked at these over the years are the usage  
20 reports because what the usage reports gives  
21 us and now yesterday you introduced to us a  
22 new database that would also get the mail

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 order usage reports in which we don't have  
2 now. What it gives us is how many were  
3 prescribed and at least some context if not of  
4 rates at least relative rates between --  
5 they're not absolute rates by any means, but  
6 they're relative rates between the various  
7 drugs.

8 And I would suggest that in looking  
9 at the few atypical antipsychotics we actually  
10 look at those numbers when we come back to  
11 this, whenever it is we come back, because it  
12 will give us something as long as we remember  
13 that we're looking at relative and not  
14 absolute.

15 CHAIRPERSON RAPPLEY: Dr. Kocis.

16 DR. KOCIS: You know, I think this  
17 drug since it's not approved, we have an  
18 opportunity to look at pediatric safety and  
19 what we may require upon approval or in the  
20 risk mitigation process that follows.

21 Again, this is not what I do for a  
22 living. There's a lot of smarter people

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 around the table and elsewhere who could give  
2 you probably an exhaustive list of things, but  
3 certainly the things that pop into my head to  
4 require would be things like hemoglobin A1c to  
5 look along with weight and glucose to see what  
6 is the chronic exposure that we can evaluate,  
7 to look at the impact of hyperglycemia over  
8 time.

9 Obviously, I think the sponsor  
10 would want to know whether the drugs that are  
11 being used will induce or predispose children  
12 to developing a chronic, debilitating, life  
13 shortening disease. I think that's who would  
14 be interested in funding these studies to have  
15 that knowledge, and again, at the time of  
16 approval, you know, putting in some additional  
17 risk management things, the movement  
18 disorders, again, from the neurology  
19 standpoint to begin to look at that  
20 prospectively in that first year, and to be  
21 able to gather that data along with the  
22 passive surveillance to move this forth since

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 we have a lot of concern about the class of  
2 drugs and as these new drugs are coming out,  
3 to begin to refine what we know and learn more  
4 as time goes on.

5 And finally, you know, with the  
6 labeling and the negotiation of the labeling,  
7 you know, I assume that FDA can say you're  
8 saying there's no safety or efficacy data in  
9 pediatrics. That section is empty on this  
10 label. Well, what can we have?

11 We have concerns about X, Y and Z.  
12 Do you have that data or should you get that  
13 data? And, again, incorporating that into  
14 what happens after approval. So there's just  
15 a few idea.

16 CHAIRPERSON RAPPLEY: So I'd like  
17 to --

18 DR. LAUGHREN: Just one follow-up  
19 on that. This label that you have in front of  
20 you is in the old format. This is going to be  
21 reformatted into the new format, and a lot of  
22 those problems will be fixed.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. MURPHY: And just to point to  
2 Dr. Kocis that this is your opportunity to  
3 tell the division because obviously they're  
4 going to be doing some additional labeling  
5 what you think needs to go into that because  
6 we've obviously heard your concern.

7 So I think what we're hearing is  
8 just what you said, some additional concerns  
9 about these areas, and I won't repeat them all  
10 that you all have said.

11 CHAIRPERSON RAPPLEY: Dr.  
12 Notterman.

13 DR. NOTTERMAN: Just a brief  
14 comment to follow up on Dr. Kocis. I think  
15 that in terms of the various elements of the  
16 metabolic burden and the weight gain, it might  
17 be appropriate for the division to specify or  
18 suggest some mitigating activities.  
19 Monitoring of hemoglobin A1c might be  
20 appropriate or have to be studied, attention  
21 to diet, nutritional counseling. The average  
22 weight gain, I think, was over five kilograms,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 which is substantial, and it might be possible  
2 to mitigate that through appropriate  
3 anticipatory guidance and perhaps those  
4 elements could be specified.

5 CHAIRPERSON RAPPLEY: So the  
6 Committee needs to vote. The statement is  
7 that the FDA should continue to evaluate the  
8 safety of olanzapine and decide if any  
9 additional risk management regulatory action  
10 is needed.

11 So those who would support this  
12 statement, please raise your hand -- oh, a  
13 question. Yes.

14 DR. CNAAN: How does our statement  
15 from the previous summary fit into this?

16 CHAIRPERSON RAPPLEY: Yes, I think  
17 we could then make an additional comment that  
18 we'd like those recommendations that we made  
19 about risperidone to apply to olanzapine  
20 because it is in the same class of medication.

21 DR. GOLDSTEIN: Well, they may have  
22 to be addended because this is not approved,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       whereas Risperdal is.

2                   CHAIRPERSON RAPPLEY:    Right.    Good  
3       point.

4                   DR.   MURPHY:        I   think   if   it's  
5       acceptable with the Committee what we will do  
6       is we're going to take the class issue that  
7       you mentioned before, and I'd like us to focus  
8       just on this product because it is in a  
9       different stage, as Dr. Goldstein pointed out,  
10      and have the Committee make sure you  
11      articulate what you're telling the division as  
12      they go forward.

13                   CHAIRPERSON RAPPLEY:    So you would  
14      like us to restate recommendations pertinent  
15      to olanzapine, in particular.

16                   DR.   MURPHY:        Yes,   pertinent   to  
17      olanzapine in particular.

18                   CHAIRPERSON RAPPLEY:    Okay.    So  
19      then this --

20                   DR.   MURPHY:        Because   they're  
21      telling you that --

22                   CHAIRPERSON RAPPLEY:    I understand

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 why. So I just haven't formulated it as  
2 succinctly as I did with the risperidone.

3 DR. DURE: Well, in this case they  
4 want us to say whether they should continue to  
5 evaluate the safety, and then does FDA decide  
6 any additional risk management regulatory  
7 action.

8 CHAIRPERSON RAPPLEY: Well, that is  
9 their -- they do that. That's what they do  
10 and they take recommendations for us about  
11 that. So I think what we need to recommend to  
12 them now is the specific areas we'd like you  
13 to attend to as you do this continuing review.

14 DR. MURPHY: Right. The question  
15 in view of the discussion is, again, a little  
16 disconnected, if you will, because what it's  
17 saying is do you agree that we're going to go  
18 ahead and decide if any additional risk  
19 management regulatory action, and what in  
20 essence as you have already said is that we  
21 agree that there needs to be additional risk  
22 management, and here are our thoughts about

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it.

2 CHAIRPERSON RAPPLEY: So we will  
3 take a vote on this question, but then we will  
4 recommend to the agency that as they continue  
5 to evaluate the safety of olanzapine, they  
6 consider in particular the metabolic syndrome  
7 and mitigation of risk in the pediatric  
8 population. Is that acceptable to the  
9 Committee?

10 DR. RAKOWSKY: Can we also add that  
11 if it gets approved or if it starts being used  
12 more in the pediatric population that they  
13 also break it out by age groups and more  
14 specificity like we asked for.

15 CHAIRPERSON RAPPLEY: Does the  
16 agency have that recommendation? Did you get  
17 that, Carlos?

18 DR. McMAHON: That's a request to  
19 break down the drug use data then or the  
20 adverse event data or both?

21 DR. RAKOWSKY: I think at this time  
22 the drug use in pediatrics is so low you get

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 so few granularities there, but if it would  
2 increase, to start breaking it down to more  
3 specificity.

4 CHAIRPERSON RAPPLEY: So we could  
5 say break down into the use data and the  
6 safety data according to age groups as much as  
7 feasible with the database.

8 DR. GOLDSTEIN: "Stratify" might be  
9 a better term.

10 CHAIRPERSON RAPPLEY: I think  
11 that's a good point. We've got lots of really  
12 capable epidemiologists on the staff. So as  
13 we misstate some of these things, you all  
14 substitute the appropriate, I think, terms for  
15 that.

16 DR. MURPHY: Yes. I mean, you all  
17 indicated clearly it's a futile act that we  
18 won't do it. Okay.

19 CHAIRPERSON RAPPLEY: So then the  
20 Committee, given those recommendations to the  
21 agency, continue to evaluate the safety of  
22 olanzapine and decide if any additional risk

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 management regulatory action is needed.

2 Those who support that, please  
3 raise your hand.

4 Any opposed?

5 So that is a consensus support of  
6 that statement.

7 Are there any other safety issues  
8 or ongoing issues with these last two  
9 medications that the agency is working with or  
10 sponsors are working with the agency on that  
11 we should be aware of?

12 (No response.)

13 DR. MURPHY: I think that it's  
14 clear that the agency is working on this and  
15 we'll take your recommendations into  
16 consideration as they move forward with this.

17 CHAIRPERSON RAPPLEY: Thank you.

18 I would like for us to take our  
19 break now, and then when we return we'll start  
20 with Levaquin. Because we have spent a lot of  
21 time on this, I'd like us to take a ten-minute  
22 break if the Committee is okay with that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 Thank you. So reconvene in ten  
2 minutes.

3 (Whereupon, the above-entitled matter went off  
4 the record at 10:34 a.m. and  
5 resumed at 10:48 a.m.)

6 CHAIRPERSON RAPPLEY: Okay. We  
7 would like to resume.

8 DR. COPE: Dr. Durmowicz, would you  
9 introduce yourself and background to start?

10 CHAIRPERSON RAPPLEY: Thank you.

11 DR. COPE: Thank you.

12 DR. DURMOWICZ: Good morning. I'm  
13 Beth Durmowicz. I'm a general pediatrician  
14 with an interest in children and youth with  
15 special health care needs, and I'm a member of  
16 the Pediatric and Maternal Health staff.

17 I have the pleasure to present the  
18 adverse event review for Levaquin or  
19 levofloxacin. My presentation will include  
20 background drug information, drug use trends,  
21 information from the pediatric exclusivity  
22 studies, labeling changes secondary to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 pediatric exclusivity studies, and additional  
2 relevant safety information and labeling,  
3 adverse events, and I'll conclude with a  
4 summary.

5           Levaquin or levofloxacin is an  
6 antibacterial in the fluoroquinolone class.  
7 The sponsor is Ortho McNeil. The oral table  
8 in injectable formulations were approved  
9 originally on December 20th, 1996, and the  
10 oral solution was approved on October 21st,  
11 2004.

12           Pediatric exclusivity was granted  
13 on March 14th, 2007, and the labeling changes  
14 secondary to the exclusivity studies occurred  
15 on September 11th, 2007.

16           Levaquin is approved in adults for  
17 multiple bacterial infections. No pediatric  
18 indication was approved related to the  
19 pediatric exclusivity studies.

20           Of note, in May 2008, Levaquin was  
21 approved for inhalational anthrax post  
22 exposure in pediatric patients greater or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 equal to six months of age.

2 This slide presents the information  
3 on the drug use trends for oral levofloxacin  
4 in the out-patient setting during the three-  
5 year period April 1st, 2005 to March 31st,  
6 2008. This represents the period two years  
7 prior and one year after the granting of  
8 pediatric exclusivity in March of 2007.

9 Overall the pediatric use of  
10 levofloxacin is decreasing, approximately 17  
11 percent over this three-year period. Patients  
12 zero to 18 years of age represented  
13 approximately 1.2 percent of the total  
14 projected patients who filled a prescription,  
15 and this equates to approximately 112,000  
16 patients in the one-year post exclusivity  
17 period. And patients zero to 18 years of age  
18 represented approximately one percent of the  
19 total dispensed prescriptions. This is  
20 approximately 130,000 prescriptions per year  
21 over the three-year period. Ninety-three  
22 percent of these prescriptions were prescribed

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for patients 12 to 18 years of age.

2 General practice, family medicine,  
3 doctors of osteopathy was the top prescribing  
4 specialty, and the top diagnosis code in  
5 patients zero to five years was urinary tract  
6 infection; six to 11 years, cellulitis; and in  
7 patients 12 to 18 years, chronic sinusitis.

8 A written request was issued for  
9 studies of levofloxacin in June of 2006. The  
10 pharmacokinetic studies showed that systemic  
11 exposure at ten milligrams per kilogram per  
12 day twice a day in patients less than five  
13 years and ten milligrams per kilogram daily in  
14 patients greater or equal to five years both  
15 orally and intravenously were not equal to  
16 adult exposure.

17 The clinical studies were Phase 3  
18 studies in patients six months to 17 years and  
19 four studies were submitted. Two of the  
20 studies were active controlled, the first a  
21 community acquired pneumonia study in patients  
22 six months to 16 years, the second a study of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 acute otitis media in patients six months to  
2 five years.

3 The third study was a uncontrolled  
4 study of acute otitis media, and the fourth  
5 study was a long-term, one-year prospective  
6 surveillance study of musculoskeletal  
7 disorders in patients six months to 16 years.

8 Tendinopathy, arthritis,  
9 arthralgia, and gait abnormality were the  
10 adverse events of interest in this study.

11 Results of the studies showed that  
12 efficacy was comparable and not inferior to  
13 the comparators. However, no indication for  
14 community acquired pneumonia or acute otitis  
15 media was sought or approved secondary to the  
16 musculoskeletal events.

17 I will now briefly discuss the  
18 safety data from these four studies. The  
19 first study was the controlled study of  
20 community acquired pneumonia. Seven hundred  
21 twelve subjects were available for safety  
22 evaluation.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           Two deaths occurred in this study,  
2 both within the levofloxacin group, but  
3 neither were thought to be treatment related.

4       The first death report or death case of the  
5 study was a 13 and a half year old with  
6 multiple foci pneumonia, with pneumatocele,  
7 fever, and respiratory distress. This patient  
8 suffered a cardiorespiratory arrest on day  
9 three of the study five minutes after  
10 bronchoscopy. The patient had been being  
11 treated with levofloxacin 250 milligrams twice  
12 a day for three days.

13           The second death case was a 2.2  
14 year old who died after presentation to the  
15 emergency department with a febrile illness  
16 associated with virulent laryngitis,  
17 leukocytosis, airway trapping, and respiratory  
18 distress. The patient had completed a ten-day  
19 course for pneumonia and had been considered  
20 to be clinically cured.

21           Serious adverse events occurred in  
22 33 or six percent of the levofloxacin treated

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 group versus eight or four percent of the  
2 comparator treated subjects.

3 Musculoskeletal disorders occurred  
4 in two percent of the levafloxacin treated  
5 patients versus one percent in comparator  
6 treated subjects.

7 The second controlled study, the  
8 acute otitis media study, had 1,607 subjects  
9 available for safety evaluation. This study  
10 was actually not requested in the written  
11 request but provided for safety data.

12 No deaths occurred in this study.  
13 There were ten serious adverse events in the  
14 levofloxacin treated group versus 13 in the  
15 comparator treatment group. Most of these  
16 serious adverse events were considered  
17 doubtfully related or not related to the study  
18 drug.

19 The incidence of musculoskeletal  
20 events was higher in the levofloxacin treated  
21 subjects, and the difference between the  
22 treatment groups was significant with a P

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 value of 0.02.

2 The uncontrolled acute otitis media  
3 study had 204 subjects available for safety  
4 evaluation. This study also is not requested  
5 in the written request but submitted for  
6 safety data.

7 No deaths occurred. Seven subjects  
8 reported eight serious adverse events: a  
9 maculopapular rash with dehydration was  
10 reported in two subjects with a possible  
11 relationship to the study drug, and one  
12 subject developed bloody diarrhea, and the  
13 relationship of this was felt to be very  
14 likely. Musculoskeletal adverse events  
15 occurred in six subjects.

16 The long-term surveillance study  
17 results are presented in this slide. Two  
18 thousand three subjects were available for  
19 safety evaluation after the one-year period or  
20 at the one-year period. Musculoskeletal  
21 disorders were reported more frequently in the  
22 levofloxacin treated subjects over the one-

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 year period, and the incidence of the  
2 musculoskeletal disorders are presented in  
3 this table.

4 And as you can see, levofloxacin  
5 had a statistically higher incidence of  
6 musculoskeletal disorders than the comparator  
7 group at the 60-day period after first dose  
8 and the one-year period after first dose. The  
9 most frequently occurring musculoskeletal  
10 disorder was arthralgia.

11 Labeling changes secondary to the  
12 pediatric exclusivity studies occurred in  
13 September 2007 to reflect that levofloxacin is  
14 not indicated for pediatric patients, to  
15 describe musculoskeletal adverse events and to  
16 provide information on the clinical studies in  
17 adverse event profile. Changes to the  
18 highlight sections were in the use and  
19 specific population, pediatrics, and provided  
20 the following information.

21 Pediatrics, musculoskeletal  
22 disorders, arthralgia, arthritis, tendinopathy

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and gait abnormality seen in more Levaquin  
2 treated patients than in comparator, shown to  
3 cause arthropathy and osteochondrosis in  
4 juvenile animals.

5 In subsections from the warnings  
6 and precautions, use of specific populations  
7 and nonclinical toxicology are referenced.  
8 Information included in the full prescribing  
9 information under Section 5, warnings and  
10 precautions, musculoskeletal disorders in  
11 pediatric patients and arthropathic effects in  
12 animals. Labeling states that levofloxacin is  
13 not indicated in patients less than 18 years  
14 due to increased musculoskeletal disorders,  
15 and the pediatric use section is referenced,  
16 and the animal studies are described.

17 Under Section 6 of labeling,  
18 serious otherwise important adverse reactions,  
19 the musculoskeletal disorders in pediatric  
20 patients are discussed in greater detail, and  
21 warnings and precautions is again referenced.

22 Within the use in specific

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 populations, pediatric use subsection,  
2 labeling states that levofloxacin is not  
3 indicated. The clinical trials are described,  
4 including a table with a musculoskeletal  
5 disorder incidence which I projected earlier.

6 There have been additional labeling  
7 changes since the changes associated with  
8 pediatric exclusivity. Of note, in May 2008 a  
9 new indication was approved for inhalational  
10 anthrax post exposure in pediatric patients  
11 greater or equal to six months of age and the  
12 dosage is provided for the patients. And this  
13 dosing is based on a model to determine the  
14 proper kinetics.

15 In addition, a boxed warning and  
16 medication guide were added to provide  
17 information on the risk of tendon rupture in  
18 tendinopathy in October of 2008.

19 This is the boxed warning that was  
20 added on October 3rd, 2008, to labeling.  
21 Additional relevant safety labeling  
22 information is included in the warnings and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 precaution section and includes tendinopathy  
2 and tendon rupture, hypersensitivity  
3 reactions, other serious and sometimes fatal  
4 reactions, hematologic and renal toxicities,  
5 hepatotoxicity, central nervous system  
6 effects, including convulsions anxiety,  
7 confusion, depression, and insomnia,  
8 Clostridium difficile, associated diarrhea or  
9 colitis peripheral neuropathy, prolongation of  
10 the QT interval and isolated cases of torsade  
11 de pointes, musculoskeletal disorders in  
12 pediatric patients and arthropathic effects in  
13 animals, light glucose disturbances,  
14 photosensitivity and phototoxicity, and the  
15 development of drug resistant bacteria.

16 Levofloxacin is a Category C  
17 pregnancy medication, and other important  
18 adverse events listed include hypotension  
19 after rapid of bolus intravenous infusion,  
20 crystalluria or cylindruria, and the other  
21 adverse events are all discussed in the  
22 warnings and precautions sections.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   So moving on from the exclusivity  
2 studies to the post marketing reporting of  
3 adverse events, this table presents the crude  
4 counts of adverse events since marketing  
5 approval in December 1996 for patients zero to  
6 16 years of age. As you can see, there are a  
7 total of 116 reports, 89 from within the  
8 United States, 100 serious adverse events, 77  
9 from the United States, and three reports of  
10 death.

11                   This slide presents information  
12 about the three deaths since marketing  
13 approval. The first report was of a 13 year  
14 old male with cerebral palsy, mental  
15 retardation, and seizures treated for  
16 bronchopneumonia who died of an unknown cause  
17 while on levofloxacin. Note this patient was  
18 on multiple concomitant medications.

19                   The second patient is a 12 year old  
20 male with reactive airways disease and  
21 allergies who developed dyspnea and  
22 anaphylaxis six to ten minutes after taking

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 levofloxacin, benzydamine hydrochloride, which  
2 is an anti-inflammatory agent, and  
3 cromoglicate sodium, which is a mast cell  
4 stabilizer for acute pharyngitis. This  
5 patient became comatose and died eight days  
6 after the event.

7           The third case is a 12 month old,  
8 and we did double check the age on this  
9 report. This report is a 12 months old with a  
10 complex past medical history, including  
11 colectomy, ileostomy, ulcerative colitis, and  
12 rheumatoid arthritis, who developed a pelvic  
13 collection and sepsis. This patient was  
14 treated with levofloxacin and metronidazole  
15 while on multiple concomitant meds. The  
16 patient developed metabolic acidosis,  
17 deteriorated and died of a myocardial  
18 infarction.

19           As mentioned in the table there  
20 were 100 serious adverse events reported in  
21 pediatrics, and we took a particular focus on  
22 musculoskeletal events as well as central

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 nervous system events. As you can see, 39  
2 percent of the serious adverse events were  
3 musculoskeletal in nature. The reports  
4 include 21 reports of arthralgia or  
5 arthropathy, 13 reports of bone or tendon  
6 symptoms, five of those being tendon rupture,  
7 five reports of myalgia or myopathy.

8 The top diagnosis for patients who  
9 reported a musculoskeletal event was  
10 sinusitis, and the most common age was 12 to  
11 16 years from which 82 percent of the reports  
12 were received.

13 There were 19 central nervous  
14 system events, and I reported the events, more  
15 than one. So five reports of seizure, four  
16 reports of abnormal behavior or confusion,  
17 three reports of hallucination, and two  
18 reports of panic attack. The diagnosis seized  
19 where the patients had a central nervous  
20 system event or sinusitis and unknown.

21 So in summary, no new safety  
22 signals were identified after completed

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 pediatric focused safety review on the use of  
2 levofloxacin. A boxed warning and medication  
3 guide were added to labeling October 3rd, 2008  
4 to strengthen the existing warnings about the  
5 increased risk of developing tendinitis and  
6 tendon rupture in patients of all ages.

7 At this time FDA does not recommend  
8 any additional labeling changes. FDA  
9 recommends to continue routine ongoing post  
10 marketing safety monitoring. Does the  
11 Committee concur?

12 CHAIRPERSON RAPPLEY: Thank you.

13 Before we go on to discussion,  
14 would you like to introduce your new member at  
15 the table?

16 Thank you.

17 DR. BELEN: Dr. Ozlem Belen from  
18 Division of Special Pathogens and Transplant  
19 Drug Products. I'm a pediatric infectious  
20 disease specialist. I've been in FDA for the  
21 past seven years and with the division for the  
22 past three years.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 CHAIRPERSON RAPPLEY: Thank you.

2 And just to recognize that we have  
3 five standard reviews, including this one, to  
4 complete before lunch, so if we can keep our  
5 questions as focused and comments as focused  
6 as possible.

7 Dr. Goldstein.

8 DR. GOLDSTEIN: Just very short,  
9 very minor. On page 208 under the  
10 musculoskeletal adverse event reports, the  
11 second paragraph notes that there were twice  
12 as many females reported with musculoskeletal  
13 symptoms, but the reviewer was unaware of any  
14 biologic reason that would make girls more  
15 susceptible to these events.

16 My understanding is that there  
17 actually are biomechanical reasons that  
18 adolescent females are more susceptible to  
19 these types of events and so it's just a  
20 clarification that I wanted to bring up.

21 DR. NOTTERMAN: I noticed that  
22 also. I agree, particularly with ACL

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 injuries.

2 The other question I was going to  
3 ask pertains to the box warning and to some of  
4 the other material where it's indicated that  
5 the risk of tendon rupture and tendinitis is  
6 particularly great over the age of 60, and I  
7 just want to make sure I understand that that  
8 is a true biological susceptibility and isn't  
9 an ascertainment bias that reflects the fact  
10 that the drug is not prescribed to a large  
11 extent under the age of, say, 12 or 16,  
12 according to the data you provided.

13 DR. BELEN: Before the approval of  
14 the black box warning and the medication guide  
15 as well, an extensive review other than the  
16 OSE review within our division evaluated the  
17 populations at risk.

18 And so although we identified that  
19 overall there is an increase relative risk of  
20 tendinitis and tendon rupture in all ages, the  
21 elderly population as well as concomitant  
22 steroid users, as well as transplant patients

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were identified specifically having higher  
2 relative risk.

3 This was basically based on mostly  
4 literature search, not based on the OSE review  
5 that was provided to us, but maybe they can  
6 provide more input if they have more  
7 information relating to those patients  
8 specifically.

9 DR. NOTTERMAN: My only concern  
10 would be making sure that practitioners don't  
11 take this age delimiter as indicating that  
12 perhaps it's relatively safer to use it in  
13 younger patients, particularly older  
14 adolescents.

15 DR. BELEN: I would like to point  
16 out specifically we added in all ages. That  
17 concern was discussed within the division,  
18 with other divisions, as well as the Pediatric  
19 Division as well. So when you look at the  
20 black box warning, it says this happens in all  
21 ages, but the risk is further increased.

22 So I want to point out that the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 risk is actually beyond whatever is there for  
2 this age group. So that was important for us  
3 to let the geriatric practitioners to know  
4 that this risk is there for when they  
5 prescribe it to elderly population because  
6 this population is at greater risk when they  
7 are debilitated.

8 CHAIRPERSON RAPPLEY: Dr.  
9 Rosenthal.

10 DR. MURPHY: And in our  
11 discussions, you know, there is that Section  
12 5.6 which does talk about pediatrics  
13 specifically because we were actually  
14 concerned when we saw the black box. It did  
15 sort of take away. I mean, if you weren't  
16 familiar with the field, you could read it,  
17 but I think by having that in there and  
18 because of the fact that there was an actual  
19 increased relative risk in the elderly that  
20 the pediatrics is still, I hope, clear that  
21 they do have this risk, too, in the labeling.

22 CHAIRPERSON RAPPLEY: Dr.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Rosenthal.

2 DR. ROSENTHAL: My comment is  
3 actually not necessarily specific to Levaquin,  
4 but Levaquin provides a vehicle for making the  
5 observation. In the warnings and cautions  
6 section of the label under prolongation of the  
7 QT interval, there is a sentence which I think  
8 is a great sentence, boilerplate sentence. It  
9 says Levaquin should be avoided in patients  
10 with known prolongation of the QT interval,  
11 patients with uncorrected hypokalemia and  
12 patients receiving Class 1A and Class 3 anti-  
13 arrhythmic agents.

14 I would just add to that that some  
15 additional phrase or wording that would  
16 include in that list other agents known to  
17 prolong QT because, you know, as this  
18 Committee has discovered and as the work of  
19 many in the room have shown, there are agents  
20 that aren't included in this list that are  
21 important prolongers of the QT interval and  
22 increased arrhythmic risk, particularly when

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 taken with other drugs that also prolong QT.

2 CHAIRPERSON RAPPLEY: Can you bring  
3 up the slide again that has the direct  
4 question on it for the Committee?

5 DR. DURMOWICZ: Yes.

6 CHAIRPERSON RAPPLEY: So no new  
7 safety signals, a boxed warning and medication  
8 guide have been added as recently as October.

9 At this time the FDA does not recommend any  
10 additional labeling changes. FDA recommends  
11 to continue routine, ongoing post marketing  
12 safety monitoring.

13 Does the Committee concur? Do you  
14 wish -- go ahead.

15 DR. NOTTERMAN: Just to follow up  
16 on that last point, there are drugs for which  
17 FDA has placed a black box warning concerning  
18 QT interval change, and those black box  
19 warnings refer generally to the concomitant  
20 use of other drugs such as Levaquin which  
21 prolong or may prolong QT intervals.

22 So it would be good if there was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 some harmonization between this Section 5.8  
2 and the black box warning, for example, on  
3 drugs such as ziprasidone, which is a very  
4 broad warning about the use of any drug that  
5 could produce QTc interval lengthening.

6 DR. BELEN: Simply when you're  
7 making decisions regarding the black box  
8 warning, we have to look at the benefit-risk  
9 profile of the drug as well. So I have to  
10 look into all of the drugs which contain  
11 fluoroquinolones, for example, and look at  
12 that ratio.

13 So, therefore, you're right. We  
14 have to have harmonization, but we have to  
15 also look at certain risk for the certain drug  
16 as well.

17 DR. NOTTERMAN: I'm not suggesting  
18 a black box warning for QT interval here. I'm  
19 just suggesting that 5.8 mentioned the class  
20 of drugs that has a black box warning already  
21 for use with drugs like Levaquin. It's the  
22 same point that we just heard from Dr.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Rosenthal.

2 DR. BELEN: Yes. Thank you.

3 CHAIRPERSON RAPPLEY: So with that  
4 recommendation then to the agency, how many  
5 affirm that the FDA continue routine, ongoing  
6 post marketing safety monitoring? Please  
7 raise your hand.

8 Any opposed?

9 So we support that by consensus.

10 DR. MURPHY: Okay. So you're  
11 supporting this statement with the addition to  
12 the bullet that there is an additional  
13 labeling change as stated concerning --

14 CHAIRPERSON RAPPLEY: That we seek  
15 harmonization around the caution of  
16 prolongation of QT to include other agents  
17 that are known to cause QT prolongation.

18 DR. MURPHY: Right, in 5.8. So I  
19 just want to make clear --

20 CHAIRPERSON RAPPLEY: Five, point,  
21 eight.

22 DR. MURPHY: -- for Carlos and the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 minutes that it's adoption of this concurrence  
2 with the recommendation.

3 CHAIRPERSON RAPPLEY: With that  
4 recommendation, yes. Thank you. Very good.

5 Dr. Collins.

6 DR. COLLINS: Okay. Good morning  
7 again, everyone. I'm now pleased to be able  
8 to present to you the one-year, post  
9 exclusivity adverse event review for  
10 lamotrigine.

11 Lamictal, or lamotrigine, is an  
12 anti-epileptic drug, or AED, for which  
13 GlaxoSmithKline is the drug sponsor.

14 Original market approval occurred  
15 on December 27th, 1994, and pediatric  
16 exclusivity was granted on February 14th,  
17 2007.

18 Lamotrigine's current indications  
19 include adjunctive therapy for partial  
20 seizures, the generalized seizures of Lennox-  
21 Gastuat Syndrome, and primary generalized  
22 tonic-clonic seizures in adults and pediatric

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 patients two years and older, and conversion  
2 to monotherapy in adults with partial seizures  
3 who are receiving treatment with  
4 carbamazepine, phenytoin, phenobarbital,  
5 primidone or valproate as a single anti-  
6 epileptic drug.

7 In addition, lamotrigine also is  
8 indicated for bipolar disorder maintenance  
9 treatment to delay the time to occurrence of  
10 mood episodes in adults treated for acute mood  
11 episodes with standard therapy.

12 The next two slides provide  
13 information about the use of lamotrigine in  
14 out-patient settings. Since lamotrigine is  
15 not approved for pediatric patients younger  
16 than two, I have highlighted the use data for  
17 that age group in yellow.

18 7.2 million lamotrigine  
19 prescriptions were dispensed for all age  
20 groups during the 12-month pre and post  
21 exclusivity period. Nine percent of these  
22 prescriptions were for pediatric patients zero

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to 16 years old, and 0.02 percent of these  
2 prescriptions were for pediatric patients less  
3 than two years old.

4 There was a 22 percent increase in  
5 the lamotrigine prescriptions for all age  
6 groups between the 12-month pre and post  
7 exclusivity periods and an 11 percent decrease  
8 for pediatric patients younger than two years  
9 old.

10 Psychiatry was the top prescribing  
11 specialty during the post exclusivity period.

12 Psychiatrists prescribed 50.4 percent of all  
13 lamotrigine prescriptions. Neurologists  
14 prescribed 18.3 percent, and pediatricians  
15 prescribed 1.1 percent.

16 The top diagnosis codes associated  
17 with lamotrigine use in patients zero to 16  
18 years old were diagnoses related to epilepsy  
19 at 51 percent and diagnoses related to bipolar  
20 disorder at 34 percent.

21 Of note, prior to the written  
22 request for pediatric exclusivity studies,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 lamotrigine already had a box warning for  
2 serious, life threatening, and fatal rashes in  
3 adult and pediatric patients.

4 In addition, lamotrigine already  
5 had an approved pediatric indication for  
6 adjunctive therapy for the generalized  
7 seizures of Lennox-Gastuat Syndrome in  
8 pediatric patients two years and older.

9 On December 17th, 1998, the FDA  
10 issued a written request for studies of  
11 lamotrigine as adjunctive therapy for partial  
12 seizures in pediatric patients one month to 16  
13 years old. The resulting pediatric  
14 exclusivity studies were broken into two  
15 groups. For pediatric patients two years and  
16 older there was one efficacy, short-term  
17 safety, and pharmacokinetic study.

18 For pediatric patients of one to 24  
19 months, there was one efficacy, short-term  
20 safety, and PK study, and one longer term  
21 safety and PK study.

22 For pediatric patients two years

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and older, the pediatric exclusivity study  
2 demonstrated efficacy for adjunctive treatment  
3 of partial seizures. In the safety analysis  
4 serious rashes, including one rash related  
5 death, were seen in pediatric patients  
6 receiving adjunctive therapy.

7 For pediatric patients one to 24  
8 months old, the Division of Neurology Products  
9 was unable to determine that lamotrigine is  
10 safe and effective for adjunctive treatment of  
11 partial seizures. Protocol specified analyses  
12 fail to detect a statistically significant  
13 treatment difference between adjunctive  
14 lamotrigine versus adjunctive placebo therapy,  
15 and adverse event data needed reanalysis using  
16 coding scheme more appropriate for a pediatric  
17 population unable to communicate symptoms.

18 Based on the findings of the  
19 pediatric exclusivity studies for patients two  
20 years and older, lamotrigine was approved for  
21 the studied use, and safety data were  
22 incorporated into the drug labeling.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           For pediatric patients one to 24  
2 months old, lamotrigine was not approved for  
3 the studied use. No labeling change was made  
4 as labeling of negative pediatric studies was  
5 not required when these studies were reviewed.

6           However, the Division of Neurology Products  
7 acknowledges that labeling the study data for  
8 one to 24 month olds would be consistent with  
9 the 2007 reauthorization of the Best  
10 Pharmaceuticals for Children Act.

11           This slide lists all of the  
12 labeling sections that were changed based on  
13 the results of the pediatric exclusivity  
14 studies. Changes were made to the box  
15 warning, clinical pharmacology, clinical  
16 studies, indications and usage, warnings,  
17 precautions, and adverse reactions sections of  
18 the drug labeling.

19           The next several slides provide  
20 details of the safety labeling changes. The  
21 box warning section was changed to update the  
22 pediatric serious rash data. After the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 pediatric exclusivity studies, the incidence  
2 of serious rash in pediatric patients  
3 receiving adjunctive therapy was 0.8 percent,  
4 and one rash related death had been reported  
5 out of 1,983 pediatric patients on adjunctive  
6 therapy.

7 The clinical pharmacology section,  
8 age in pediatric patients subsection, was  
9 changed to note that, one, lamotrigine  
10 clearance was influenced predominantly by  
11 total body weight and concurrent anti-  
12 epileptic drug therapy;

13 Two, oral clearance was higher on a  
14 body weight basis in pediatric patients  
15 weighing less than 30 kilograms than in  
16 adults;

17 And three, patients weighing less  
18 than 30 kilograms may need an increase of as  
19 much as 50 percent in maintenance doses based  
20 on clinical response.

21 The warning section, serious rash  
22 in pediatric population subsection, updated

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the incidence of serious rash associated with  
2 lamotrigine in the prospectively followed  
3 pediatric cohort, including the occurrence of  
4 the one rash related death.

5 In addition, the revised labeling  
6 included data supporting the increased risk of  
7 rash with concomitant use of valproate acid.

8 The acute multi-organ failure  
9 subsection noted the updated number of  
10 pediatric fatalities associated with multi-  
11 organ failure and various degrees of hepatic  
12 failure. This subsection also noted the fact  
13 that the majority of these deaths occurred in  
14 association with other serious medical events.

15 The adverse reaction section,  
16 adjunctive therapy in pediatric patient  
17 subsection, was updated to include the most  
18 common adverse events seen in pediatric  
19 adjunctive therapy trials.

20 In addition, the subsection was  
21 changed to include information on the rate of  
22 discontinuations due to adverse events, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 the most commonly reported adverse events  
2 leading to discontinuation in pediatric  
3 placebo controlled trials, and in the larger  
4 group of pediatric placebo controlled and open  
5 label trials.

6 Lastly, the incidence and  
7 controlled adjunctive trials in pediatric  
8 patient subsection was changed to include  
9 updated treatment emergent adverse event data.

10 Moving now from the exclusivity  
11 studies to post marketing reporting, this  
12 table describes the adverse event reports  
13 since marketing approval. For pediatric  
14 patients, there were 1,787 adverse event  
15 reports, which comprised 12.5 percent of the  
16 total reports. Of these reports, there were  
17 106 death reports, with 30 being U.S. reports.

18 Out of the 106 crude count  
19 pediatric death reports identified since  
20 marketing approval, 23 reports were  
21 duplicates, resulting in 83 unique pediatric  
22 cases. Of these unique cases, there were 38

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 cases of expected epilepsy complications, 16  
2 cases of labeled warnings and precautions, 19  
3 cases of adverse events with a high background  
4 rate in the general population, but  
5 lamotrigine cannot be excluded as a  
6 contributing factor, and ten other cases.

7 After reviewing the 83 unique  
8 pediatric death cases, the safety reviewer did  
9 not identify any new safety concerns.

10 There are multiple sections of the  
11 current labeling that are relevant to the  
12 pediatric death cases. Serious rashes in  
13 pediatric patients are discussed in the box  
14 warning, and the warning section of the drug  
15 labeling.

16 The precaution section includes  
17 sudden unexplained death in epilepsy and  
18 status epilepticus, and the adverse reaction  
19 section of the drug labeling mentions  
20 infection and pancreatitis.

21 The next several slides provide  
22 more details for the 83 unique pediatric death

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 cases identified since marketing approval, and  
2 you will note that unlabeled events have been  
3 underlined.

4 Of these cases, there were 19 cases  
5 of seizure, prolonged seizure or status  
6 epilepticus, 19 cases of patients found dead,  
7 death, or sudden death, and 16 cases of rash,  
8 Stevens Johnson Syndrome, or toxic epidermal  
9 necrolysis. All of these events are  
10 consistent with the current drug labeling.

11 Again, there were 19 adverse events  
12 that have a high background rate in the  
13 general population, but lamotrigine cannot be  
14 excluded as a contributing factor. Of these  
15 cases, nine involved in utero exposures, four  
16 involved pulmonary events, such as pneumonia,  
17 pulmonary infection, or aspiration  
18 pneumonopathy, and there was one case of each  
19 of the six events noted at the bottom of this  
20 slide.

21 Of note, pulmonary infection,  
22 sepsis and Varicella infection are not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 specifically mentioned in the drug labeling,  
2 but infection in broad terms is listed as an  
3 adverse event.

4 The ten other death cases are  
5 described in greater detail on the next five  
6 slides. Overall, an association of these  
7 deaths with lamotrigine is unclear, because  
8 the cases include concomitant medications,  
9 underlying medical conditions and/or  
10 insufficient details.

11 There were four cardiac cases. The  
12 first case involved a ten year old male on  
13 lamotrigine monotherapy for four and a half  
14 years who was found unconscious and could not  
15 be revived. Autopsy showed signs of  
16 myocarditis.

17 The second case involved a 13 year  
18 old male who experienced increasing seizures  
19 over three years of lamotrigine treatment.  
20 Topiramate was added. Two months later, he  
21 was admitted to the hospital for an  
22 unspecified reason, and he died suddenly.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Autopsy found acute myocarditis.

2 The third case involved a 16 year  
3 old who experienced cardiac arrest one month  
4 after initiating lamotrigine and oxcarbazepine  
5 treatment for unknown indications. He was  
6 hospitalized, and died one week later.

7 And the fourth case involved an  
8 eight year old female who was found dead six  
9 months after initiating lamotrigine therapy to  
10 treat epilepsy. Autopsy found cardiac  
11 insufficiency and generalized inflammation of  
12 the respiratory tract.

13 The two pulmonary cases included a  
14 three year old male with encephalopathy and on  
15 oxygen treatment who developed respiratory and  
16 cardiac failure after 18 months of lamotrigine  
17 therapy, and a four year old male with global  
18 developmental delay, and on lamotrigine for  
19 one and a half months to treat seizures, who  
20 experienced fever and vomiting, a 30 minute  
21 seizure and respiratory arrest, and died.

22 The first hepatic case involved a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 one year old male who developed an unspecified  
2 cerebrovascular disorder, hepatic abnormality,  
3 and purpura, after one year valproate sodium,  
4 and two weeks lamotrigine treatment for  
5 epilepsy.

6 The second hepatic case involved a  
7 15 year old female who experienced rash and  
8 discontinued lamotrigine after three weeks of  
9 treatment for blackouts. The rash resolved,  
10 blackouts continued, occasional vomiting  
11 developed, and phenobarbital was started.

12 Two days later, which was two and a  
13 half weeks after lamotrigine was stopped, she  
14 was diagnosed with liver failure. A few days  
15 later, she had brain edema and death occurred.

16 The occurrence of Reye's Syndrome also was  
17 considered.

18 The last two other cases involved  
19 an eight year old female on two years of  
20 lamotrigine and two months of topiramate  
21 therapy who developed hemorrhagic pancreatitis  
22 and died within 20 hours, and a ten year old

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 male with multiple disabilities on lamotrigine  
2 for ten months who developed renal failure and  
3 died. Amphotericin and acyclovir, both of  
4 which are associated with renal failure, were  
5 started two days before the onset of the  
6 adverse event.

7           Going back to the table describing  
8 the adverse event reports since marketing  
9 approval, for pediatric patients, there were  
10 1,250 pediatric serious adverse event reports,  
11 with 635 being U.S. reports. You will note  
12 again that the definition of a serious adverse  
13 event that was used to identify these reports  
14 is provided in the footnote.

15           Looking at the post exclusivity  
16 period for pediatric patients, there were 172  
17 serious adverse event reports, with 105 of  
18 these being U.S. reports.

19           Of the 172 crude count pediatric  
20 reports from the post exclusivity period, 398  
21 adverse events were identified in three or  
22 more reports. Of these 398 events, 285 were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 labeled, 57 were unlabeled, and 56 were events  
2 inappropriate for labeling because they can  
3 occur with all drugs, for example, the adverse  
4 event report of a drug being ineffective.

5           Once again, the safety reviewer did  
6 not identify any new safety concerns during  
7 her review of these serious adverse events.

8           There are multiple sections of the  
9 drug labeling that are relevant to the 285  
10 labeled serious adverse events. The box  
11 warning section of the drug labeling discusses  
12 serious rash, including toxic epidermal  
13 necrolysis. The warning section discusses  
14 serious rash, including Stevens Johnson  
15 Syndrome, angioedema, fever, and  
16 lymphadenopathy, hypersensitivity reactions,  
17 including generalized hypersensitivity,  
18 disseminated intravascular coagulation, and  
19 lymphadenopathy, multi-organ failure,  
20 including hepatic failure, disseminated  
21 intravascular coagulation, and elevated  
22 transaminases, and blood dyscrasias, including

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 thrombocytopenia.

2           In addition, there are 33 different  
3 serious adverse events included in the post  
4 marketing reports which are noted in the  
5 adverse reaction section of the drug labeling  
6 as indicated on this slide.

7           The 57 unlabeled pediatric serious  
8 adverse events identified during the post  
9 exclusivity period are characterized on this  
10 slide. They included eight abnormal behavior  
11 events, six aggression events, four events  
12 each for blister, candidiasis, coagulopathy,  
13 and septic shock, and three events each for  
14 abnormal feces, anuria, blood pressure  
15 decrease, coordination abnormal, dysmorphism,  
16 hypotension, jaundice, lactose intolerance,  
17 and mucosal inflammation.

18           The safety reviewer did not  
19 identify a safety signal in these unlabeled  
20 serious adverse events.

21           Moving from the post marketing  
22 adverse event reports to FDA's risk management

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 activities, on January 31st, 2008, the FDA  
2 issued an alert that patients on anti-  
3 epileptic drugs should be closely monitored  
4 for behavior indicating suicidal thoughts or  
5 behavior or depression. This alert was based  
6 on FDA analyses of reports of suicidal  
7 behavior or ideation from placebo controlled  
8 studies of 11 anti-epileptic drugs in which  
9 the rate of suicidality was 0.43 percent for  
10 patients on anti-epileptic drugs, versus 0.22  
11 percent for patients on placebo. Results were  
12 generally consistent among the 11 drugs.

13 The Division of Neurology Products  
14 has given presentations on this topic during  
15 prior Pediatric Advisory Committee meetings.

16 The 11 anti-epileptic drugs  
17 included in the analyses are listed on this  
18 slide. FDA is working to include information  
19 on the risk of suicidality in the labelings of  
20 all anti-epileptic drugs used for maintenance  
21 therapy.

22 The FDA's risk management

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 activities also have included a review of  
2 Lamictal medication errors related to name  
3 confusion. Lamictal tablets are primarily  
4 confused with Lamisil tablets, and this name  
5 confusion is well documented, and known to  
6 impact both adult and pediatric populations.

7 However, reported medication errors  
8 for Lamictal in pediatric patients have not  
9 increased since pediatric exclusivity was  
10 granted.

11 Interventions implemented to  
12 minimize medication errors due to name  
13 confusion include, one, listing the name pair,  
14 Lamictal and Lamisil, on the Institute for  
15 Safe Medication Practices Confused Drug Names  
16 List;

17 Two, the current ongoing, extensive  
18 educational campaign developed by the Lamictal  
19 sponsor to alert patients and health care  
20 professionals about the errors involving  
21 Lamictal and Lamisil name confusion;

22 And three, RxSafety Advisor, which

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is a software program that alerts pharmacists  
2 to potential look alike and sound alike names  
3 by displaying a warning message prior to a  
4 claim being made, and after the claim is  
5 accepted. And overwrite code must be entered  
6 to bypass the message, and unlike many  
7 pharmacy warning systems, this message cannot  
8 be paged through.

9 The Lamictal sponsor has been  
10 working to help pharmacies implement this  
11 technology since 2007. In the future, the FDA  
12 will continue to monitor medication errors by  
13 assessing the communication programs developed  
14 by the Lamictal sponsor monitoring the  
15 effectiveness of the RxSafety Advisor, and  
16 monitoring for name confusion.

17 This completes the one-year post  
18 exclusivity adverse event reporting. At  
19 present, lamotrigine is not approved for use  
20 in patients under two years of age. Safety  
21 data from the pediatric exclusivity trial for  
22 two to 16 year olds have been incorporated

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 into the drug labeling, and the Division of  
2 Neurology Products is planning to include  
3 inflammation on the one to 24 month old study  
4 in labeling.

5 The safety review did not reveal  
6 any new safety concerns for lamotrigine. FDA  
7 is working to include suicidality data in the  
8 labelings of 11 anti-epileptic drugs,  
9 including lamotrigine. FDA also will continue  
10 to monitor medication errors related to name  
11 confusion, and FDA will continue its standard  
12 ongoing safety monitoring for lamotrigine.

13 And the question to the Committee  
14 is does the Committee concur with this  
15 approach?

16 And in closing I just would like to  
17 acknowledge the assistance I received from FDA  
18 staff in the Office of Surveillance and  
19 Epidemiology, the Office of Clinical  
20 Pharmacology, the Division of Neurology  
21 Products, the Office of Pediatric  
22 Therapeutics, and the Pediatric and Maternal

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Health staff.

2 Thank you.

3 CHAIRPERSON RAPPLEY: Thank you.

4 Dr. Murphy, would you like to  
5 introduce the new people at the table?

6 DR. MURPHY: I'll ask each of the  
7 individuals from the Division to please  
8 introduce themselves, and a little bit about  
9 your background.

10 DR. HERSHKOWITZ: Hi. I'm Dr.  
11 Norman Hershkowitz. I'm a team leader in the  
12 Division of Neurology Products. I have  
13 trained as an adult neurologist. I'm also  
14 trained as a pharmacologist. I have a Ph.D.  
15 in pharmacology.

16 DR. SHERIDAN: I'm Dr. Phil  
17 Sheridan. I'm a medical officer with the  
18 Division of Neurology Products. I'm a  
19 pediatrician and pediatric neurologist.

20 CHAIRPERSON RAPPLEY: Thank you.

21 So open for discussion. Dr. Cnaan.

22 DR. CNAAN: Since there don't seem

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to be questions in lamotrigine itself, I have  
2 a generic question for the division. In  
3 this --

4 DR. HERSHKOWITZ: Could I ask you  
5 to speak up?

6 DR. CNAAN: In the suicidality  
7 report, it included 11 drugs because they were  
8 the only drugs that had good controlled  
9 randomized clinical trials, et cetera. There  
10 were several drugs that were not included,  
11 because they're mostly too old, and didn't  
12 have this quality of studies.

13 Are there any plans to do anything  
14 about the labeling of those older drugs that  
15 were not included in this suicidality analysis  
16 just to inform that this is an issue in the  
17 same vein?

18 DR. HERSHKOWITZ: I'll refer you to  
19 the Advisory Committee, and the Advisory  
20 Committee voted that the division should  
21 include labeling for these other drugs, and I  
22 think legally -- I don't think I can tell you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 what we're doing now, but I'll refer you to  
2 what the Advisory Committee recommended.

3 CHAIRPERSON RAPPLEY: Other  
4 questions or comments?

5 I would like to make a comment that  
6 it seems to me on hearing this presentation  
7 that, in this particular medication, the  
8 process worked really well, and what was  
9 accomplished here was exactly what was set out  
10 to be accomplished with the changes that have  
11 brought pediatric issues to people's  
12 attention.

13 One, you identified the very unique  
14 communication issues of people who are zero to  
15 two years of age, and I think that's important  
16 to acknowledge, and to create new mechanisms  
17 to determine signs and symptoms in that age  
18 group.

19 Two, we got new clearance data, and  
20 looked at new dosing requirements for this  
21 medication in children, in particular.

22 And three, some alerts were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 generated in response to signals detected  
2 during the post exclusivity analysis that led  
3 to generalizations relevant to the entire  
4 class.

5 So it seemed to me that the intent  
6 of legislation and special act, and all of  
7 your extra workload, and our extra workload,  
8 resulted at least in this case in exactly the  
9 things we wanted to accomplish. So I commend  
10 the division for that.

11 DR. MURPHY: I think a  
12 clarification from the division was that  
13 you're basically agreeing or anticipating that  
14 they are going to put some information in, but  
15 you're reading this as saying that they will  
16 get that additional information in the label.

17 So I can tell you that we had a  
18 number of discussions about the wording of  
19 this. So because the agency cannot talk  
20 about, you know, any activities that are  
21 ongoing, so I think basically if you have a  
22 recommendation, because that's what you were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 saying, and if others on the Committee agree  
2 with you, that you think that the division  
3 should include the information on the one to  
4 24 month old study in the labeling, which of  
5 course, I can predict what your response is,  
6 but I just think for the record that if that's  
7 what you think should happen, then you need to  
8 go on the record to say that.

9 CHAIRPERSON RAPPLEY: So the  
10 Committee would need to concur that that  
11 information should be included in the  
12 labeling.

13 DR. HERSHKOWITZ: I didn't catch  
14 what you said. If it was a question, I'm a  
15 little --

16 DR. SHERIDAN: The answer is yes.

17 CHAIRPERSON RAPPLEY: So my own  
18 personal comments --

19 MR. HERSHKOWITZ: I have a little  
20 Meniere's disease, and my tinnitus is very  
21 high today.

22 CHAIRPERSON RAPPLEY: I can relate

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701